

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

ADVISORY COUNCIL ON RADIATION PROTECTION

DEPARTMENT OF HEALTH

HYATT REGENCY -- ORLANDO AIRPORT

OCTOBER 5, 2010

10:00 A.M. TO 2:45 P.M.

1 A P P E A R A N C E S

2

3 CAROL BONANNO, NUCLEAR MEDICINE TECHNOLOGY

4 MARK SEDDON, MEDICAL PHYSICIST

5 PAUL BURRESS, HEALTH PHYSICS

6 KATHLEEN DROTAR, RADIATION THERAPY

7 GAIL CURRY, MQA

8 VICKI GRANT, MQA

9 JANICE LIVINGSTON, RADIATION CONTROL

10 DEBBIE GILLEY, BUREAU OF RADIATION CONTROL

11 DR. WARREN JANOWITZ, NUCLEAR MEDICINE

12 JAMES FUTCH, RADIATION CONTROL

13 DON STEINER, BUREAU OF RADIATION CONTROL

14 DR. TIM WILLIAMS, RADIATION ONCOLOGY

15 DR. RANDY SCHENKMAN, RADIOLOGY

16 PATRICIA DYCUS, RPA

17 DR. ALBERT ARMSTRONG, PODIATRIST

18 JEROME GUIDRY, ENVIRONMENTAL

19 DR. BILL ATHERTON, CHIROPRACTIC RADIOLOGIST

20 ALBERTO TINEO, HOSPITAL ASSOCIATION

21

22

23

24

25

1 THEREUPON:

2 DR. JANOWITZ. WHY DON'T WE GET STARTED. IT
3 LOOKS LIKE IT IS 10:00. LOOKS LIKE EVERYONE IS
4 HERE. GLAD TO HAVE YOU ALL HERE THIS MORNING.
5 BEFORE WE GET STARTED, JUST A COUPLE OF QUICK
6 ANNOUNCEMENTS. IF YOU CAN GIVE YOUR NAME BEFORE
7 SPEAKING DURING THE DISCUSSIONS AND TRY NOT TO
8 TALK OVER OTHER PEOPLE SO WE CAN HAVE A GOOD
9 TRANSCRIPT OF THE MEETING. WHY DON'T WE START
10 WITH INTRODUCTIONS.

11 MS. BONANNO: CAROL BONANNO, I REPRESENT
12 NUCLEAR MEDICINE TECHNOLOGY AND I LIVE IN ST.
13 PETERSBURG.

14 MR. SEDDON: MARK SEDDON, I REPRESENT MEDICAL
15 PHYSICISTS.

16 DR. WILLIAMS: TED WILLIAMS, RADIATION
17 ONCOLOGY, BOCA RATON.

18 MR. BURRESS: PAUL BURRESS, HEALTH PHYSICS;
19 TALLAHASSEE, FLORIDA.

20 MS. DROTAR: KATHY DROTAR, RADIATION THERAPY
21 AT KEISER UNIVERSITY.

22 MS. CURRY: GAIL CURRY, MQA, LICENSING FOR RAD
23 TECHS.

24 MS. GRANT: VICKI GRANT, MQA, RAD TECHS.

25 MS. LIVINGSTON: JANICE LIVINGSTON, DOH,

CORRECTED DRAFT MINUTES

1 RADIATION CONTROL.

2 MS. GILLEY: DEBBIE GILLEY, BUREAU OF
3 RADIATION CONTROL.

4 DR. JANOWITZ: WARREN JANOWITZ, NUCLEAR
5 MEDICINE, BAPTIST HOSPITAL, MIAMI.

6 MR. FUTCH: JAMES FUTCH, RADIATION CONTROL.

7 MR. STEINER: DON STEINER, BUREAU OF RADIATION
8 CONTROL.

9 DR. SCHENKMAN: RANDY SCHENKMAN, RADIOLOGY.

10 MS. DYCUS: PATRICIA DYCUS, I REPRESENT RPA.

11 DR. ARMSTRONG: ALBERT ARMSTRONG, BARRY
12 UNIVERSITY, I REPRESENT PODIATRISTS.

13 MR. GUIDRY: JEROME GUIDRY, ENVIRONMENTAL
14 RADIOLOGY.

15 DR. ATHERTON: BILL ATHERTON, CHIROPRACTIC
16 RADIOLOGIST, MIAMI.

17 MR. TINEO: ALBERTO TINEO, REPRESENT THE
18 HOSPITAL ASSOCIATION, DAYTONA BEACH.

19 DR. JANOWITZ: OKAY. WE HAVE AN INTERESTING
20 AGENDA TODAY. FIRST ITEM IS REVIEW OF THE
21 MINUTES. I THINK EVERYBODY GOT THAT E-MAIL. ANY
22 COMMENTS?

23 MS. LIVINGSTON: I DON'T WANT TO SAY ANYTHING
24 ABOUT THE MINUTES.

25 MR. FUTCH: OKAY.

CORRECTED DRAFT MINUTES

1 MS. LIVINGSTON: WE HAD SOME DIFFICULTY WITH
2 THE MINUTES. AND I DO APOLOGIZE FOR THEM. BUT
3 MANY PEOPLE HAVE LOOKED AT THEM. I'VE MADE ALL
4 THOSE CORRECTIONS WHEREVER POSSIBLE AND HOPED FOR
5 THE BEST.

6 DR. JANOWITZ: THAT'S THE REASON FOR THESE
7 ANNOUNCEMENTS.

8 MR. FUTCH: WE ALSO HAVE SPEAKERS IN FRONT OF
9 YOU GUYS FOR AUDIO RECORDING AS A BACKUP THIS
10 TIME. SO JUST TO LET YOU GUYS KNOW TO SPEAK
11 CLEARLY AND WE'LL GET THE MINUTES RECORDED.

12 MS. LIVINGSTON: I DO WANT TO GO AHEAD AND
13 MENTION THAT FOR LUNCH TIME I HAVE SPOKEN TO THE
14 MACARONI GRILL. IT'S DOWNSTAIRS AND TOWARDS ONE
15 OF THESE ENDS OR WHATEVER. WE CAN GO AHEAD AND
16 MAKE RESERVATIONS FOR LUNCH TIME IF EVERYBODY
17 WOULD LIKE TO. IF YOU WANT TO GO AHEAD, LET ME
18 KNOW, I CAN GO AHEAD AND CALL THEM AND LET THEM
19 KNOW HOW MANY PEOPLE TO SET THE TABLE FOR. SO
20 JUST ABOUT EVERYBODY PRETTY MUCH? OKAY. GOOD.
21 AND I'VE ALSO GOT PARKING VALIDATION TICKETS HERE.

22 DR. JANOWITZ: OKAY. I GUESS WE NEED TO VOTE
23 ON MINUTES.

24 DR. WILLIAMS: SECOND.

25 DR. JANOWITZ: ANY OPPOSED?

CORRECTED DRAFT MINUTES

1 (NO RESPONSE.)

2 DR. JANOWITZ: NEXT IS JAMES FUTCH, WEBSITE.

3 MR. FUTCH: FIRST THING YOU PROBABLY WANT TO
4 DO IS JUST GO AHEAD AND TAKE THIS THING OUT OF THE
5 BLUE FOLDER, IF YOU HAVEN'T DONE SO ALREADY.
6 WE'VE MADE SEVERAL ADDITIONS TO THE WEBSITE THIS
7 TIME AROUND. AND I'M GOING TO LET DEBBIE TALK
8 ABOUT THE FIRST ISSUE WHICH IS YOUR TAB C-1.

9 MS. GILLEY: WELL, WE ADOPTED THE NEW
10 RADIOACTIVE MATERIALS RULES IN MARCH OF THIS PAST
11 YEAR AND THEY WERE SIGNIFICANTLY DIFFERENT THAN
12 WHAT WE HAD EVER HAD BEFORE UP THERE. THE
13 RADIOACTIVE MATERIALS MEDICAL RULES HAVE NOT BEEN
14 CHANGED SINCE 1988. MOST OF THE CHANGES WE MADE
15 WERE TO BE COMPATIBLE WITH THE NUCLEAR REGULATORY
16 COMMISSION SINCE WE ARE AN AGREEMENT STATE AND THEY
17 HAVE PASSED THAT RESPONSIBILITY ONTO US. THEY
18 EXPECT A CERTAIN STANDARD.

19 AND THERE WERE A TREMENDOUS AMOUNT OF PHONE
20 CALLS, CONFUSIONS, NON-COMPLIANCE ISSUES AND ALSO
21 IN OUR EFFORTS TO BE BETTER COMMUNICATORS WITH OUR
22 LICENSEES, WE WENT THROUGH SOME OF THE CALLS THAT
23 WE WERE GETTING AND DEVELOPED SOME FREQUENTLY
24 ASKED QUESTIONS, WHICH ARE AVAILABLE ON OUR
25 WEBSITE. THERE IS A SECOND WAVE OF THOSE

1 QUESTIONS THAT ARE IN PROCESS THAT SHOULD BE ADDED
2 PROBABLY BY THE FIRST OF NOVEMBER, THAT IS THROUGH
3 EVERYBODY. JUST TO HELP OUR LICENSEES KNOW WHAT
4 THEIR EXPECTATIONS WOULD BE IN ADOPTING THE NEW
5 REGULATIONS. ANY QUESTIONS? ANYBODY LOOKED AT
6 THEM?

7 DR. WILLIAMS: HOW OFTEN DO WE GET TO ASK A
8 QUESTION BY THE NEUROSURGEONS TO GET THE
9 AUTHORIZED RESEARCH FOR GAMMA. IS IT --

10 MS. GILLEY: YES. WE HAVE SEVEN GAMMA KNIVES
11 IN FLORIDA AND WE'VE HAD IT ASKED AT LEAST SEVEN
12 TIMES.

13 MR. FUTCH: THIS WAS NO EASY TASK. I THINK
14 DEBBIE SPENT QUITE A BIT OF TIME WITH OUR
15 INSPECTORS VETTING THIS AND IT HAD TO GO THROUGH
16 THE PROGRAM OFFICE, AND SIX ATTORNEYS I THINK, AND
17 FINALLY GOT IT UP ON THE WEBSITE. SO, WE'RE QUITE
18 HAPPY IT'S THERE. ANYTHING ELSE ON THAT, DEB?

19 MS. GILLEY: NOPE.

20 MR. FUTCH: LET'S MOVE ON TO THE HANDOUT. THE
21 HANDOUT IS NOT THERE YET. IF YOU WOULD JUST TAKE
22 ONE FROM EACH OF THE TWO PILES THAT IS COMING
23 AROUND. THIS IS -- I'LL WAIT UNTIL YOU GET IT
24 FIRST BEFORE I START TALKING ABOUT IT.

25 SO WHAT YOU'RE SEEING IS TWO PAGES. THE ONE

1 THAT HAS THE PICTURES ON IT IS ACTUALLY THE FRONT
2 PAGE OF THE BUREAU OF RADIATION CONTROL'S WEBSITE.
3 I THINK THEY'VE GOT THE SAME THING. A LITTLE
4 SHORT? SORRY ABOUT THAT.

5 THE ONE WITH THE PICTURES IS THE FRONT PAGE OF
6 THE BUREAU OF RADIATION CONTROL'S WEBSITE AS OF I THINK
7 YESTERDAY. THERE ARE TWO THINGS ON IT. THEY ARE
8 IDENTIFIED WITH ARROWS. WE'RE GOING TO TALK ABOUT
9 THE ONE ON THE BOTTOM FIRST. THIS IS BASICALLY A
10 LIST SERVER. WE'VE BEEN ASKED THIS I THINK A
11 COUPLE OF TIMES OVER THE PAST SEVERAL YEARS AND IT
12 JUST TOOK A WHILE TO GET THE IT FOLKS TOGETHER
13 WITH THE PROGRAM FOLKS AND MAKE IT ALL HAPPEN.

14 SO NOW BASICALLY THE BUREAU OF RADIATION
15 CONTROL DOES HAVE A LIST SERVER. SO YOU CAN
16 SUBSCRIBE TO IT, AND WE WILL USE IT FOR SENDING
17 OUT ALL SORTS OF THINGS INCLUDING ANYTHING WE PUT
18 ON OUR WEBSITE, ANY NEW REGULATIONS, INFORMATION
19 NOTICES, TRAINING OPPORTUNITIES. DEBBIE IS GOING
20 TO TALK A LITTLE BIT MORE ABOUT THOSE IN THE NEXT
21 SECTION. THERE ARE SEVERAL RADIATION TRAINING
22 RELATED COURSES GOING ON RIGHT NOW.

23 THE FIRST PAGE IS THE LIST TO SUBSCRIBE.
24 THAT'S THE BOTTOM ARROW ON THE PAGE WITH THE
25 PICTURES. AND THE OTHER PAGE WE'VE GOT IS

1 ACTUALLY THE SUBSCRIPTION PAGE FOR THE LIST
2 SERVER. THIS WILL PROBABLY EXPAND AS WE THINK OF
3 MORE THINGS TO SAY ABOUT IT, THINGS TO INCLUDE ON
4 THERE. PERHAPS EVEN GET TO THE POINT WHERE WE ASK
5 YOU WHICH KINDS OF THINGS YOU MAY WANT TO HEAR
6 ABOUT. BUT RIGHT NOW THIS IS WHAT IT LOOKS LIKE.
7 SO THAT'S IT. IT PROBABLY TOOK LONGER TO PASS THE
8 PAPER OUT THAN TO TALK ABOUT.

9 MOVING ON TO THE NEXT ITEM C, RADIOLOGY
10 ARTICLE ON MEDICAL RESPONSE. THIS IS YOUR C-3.
11 WE HAVE -- SOME OF THE TRAINING COURSES WE'VE DONE
12 OVER THE PAST YEAR HAVE BEEN WITH THE REAC/TS
13 FOLKS IN OAKRIDGE, TENNESSEE, THE RADIATION
14 EMERGENCY RESPONSE ASSISTANCE CENTER TRAINING
15 SITE. THEY'VE COME TO FLORIDA AND THEY'VE TALKED
16 -- MAYBE SOME OF YOU HAVE ATTENDED, SOME OF YOUR
17 COLLEAGUES. THEY HAVE TALKED TO PHYSICIANS AND
18 NURSES AND MEDICAL PARAMEDICS AND VARIOUS SUNDRY
19 OF OTHER FOLKS ABOUT WHAT IS GOING TO BE NEEDED IN
20 TERMS OF IF THERE IS EVER A NUCLEAR POWER PLANT
21 ACCIDENT OR GOD FORBID A DIRTY BOMB OR SOMETHING
22 LIKE THAT, AND CONTAMINATED PEOPLE START SHOWING
23 UP TO MEDICAL FACILITIES.

24 THEY ALSO AFTER, I GUESS ABOUT THE SAME TIME
25 THEY WERE DOING THESE COURSES WITH US, A LOT OF

1 THE AUTHORS GOT TOGETHER AND WROTE A PAPER IN
2 RADIOLOGY CALLED MEDICAL RESPONSE TO MAJOR
3 RADIOLOGIC EMERGENCY. AND RADIOLOGY, WE GOT A
4 REQUEST FROM SOME OF THE COUNTY HEALTH DEPARTMENTS
5 FOR A COPY OF THIS. AS YOU KNOW, IT COSTS MONEY
6 FOR COPIES OF THESE THINGS. RADIOLOGY WAS KIND
7 ENOUGH TO GIVE US PERMISSION FROM THE AUTHORS TO
8 POST THAT ARTICLE ON OUR WEBSITE. AND THAT'S WHAT
9 THIS IS IN C-3.

10 IT'S A LINK SHOWING YOU THE FRONT PAGE WHERE
11 THERE'S A LITTLE BLURB ABOUT WHAT THE ARTICLE IS
12 ABOUT. THE SECOND PAGE IS THE ACTUAL FIRST PAGE
13 OF THE PDF'S FULL TEXT ARTICLE. IT'S AN EXCELLENT
14 ARTICLE. IF YOU DIDN'T MAKE IT TO ANY OF THE
15 REAC/TS COURSES, THIS IS A HANDY LITTLE THING TO
16 HAVE. I THINK THAT'S IT FOR ME. ANY QUESTIONS ON
17 ANY OF THAT? OKAY. BACK TO YOU.

18 DR. JANOWITZ: UP TO D. AHEAD OF SCHEDULE
19 THIS MORNING. DEBBIE GILLEY.

20 MS. GILLEY: WELL, THE FEDERAL GOVERNMENT IS
21 NOW INTERESTED IN RESPONSE TO RADIOLOGICAL EVENTS.
22 AND WHEN THE FEDERAL GOVERNMENT GETS INTERESTED,
23 MONEY COMES OUR WAY. SO WE HAVE SEVERAL
24 INITIATIVES GOING ON IN FLORIDA RIGHT NOW TO
25 BETTER PREPARE US TO RESPOND TO A DIRTY BOMB OR

1 NUCLEAR DETONATION OR OTHER POSSIBLE NUCLEAR POWER
2 PLANT, TRANSPORTATION INCIDENTS.

3 SO, WE'RE TRYING TO SPEND THE MONEY AS LONG AS
4 IT GOES. WE'RE ALSO TRYING TO GET A CORPS OF
5 INDIVIDUALS THAT ARE OUT THERE, THAT WOULD BE
6 AVAILABLE TO HELP US OUT SHOULD WE HAVE A DIRTY
7 BOMB HAPPEN IN ONE OF OUR METROPOLITAN CITIES.
8 AND WE HAVE THREE DIFFERENT INITIATIVES THAT ARE
9 CURRENTLY GOING ON LOOKING FOR VOLUNTEERS AND
10 PROVIDING TRAINING FOR VOLUNTEERS.

11 THE FIRST IS COORDINATION WITH OUR MEDICAL
12 RESERVE CORPS. WE HAVE ESTABLISHED A PARTNERSHIP
13 WITH THEM FOR RADIATION RESPONSE VOLUNTEERS.
14 THESE ARE INDIVIDUALS THAT HAVE RADIATION,
15 RADIOLOGY BACKGROUND. THEY ARE INDIVIDUALS THAT
16 CAN HELP US RUN HUNDREDS OF PEOPLE THROUGH PORTAL
17 MONITORS AND DO DECONTAMINATION. WE ARE NOT A
18 PROPONENT OF THE ALL HAZARDS APPROACH. WE DON'T
19 BELIEVE YOU OUGHT TO SET SHOWERS UP NECESSARILY IN
20 YOUR PARKING LOTS AND WASH PEOPLE DOWN BEFORE YOU
21 GIVE THEM MEDICAL CARE.

22 SO, WE THINK THAT THE RADIATION CONTAMINATION
23 THAT WOULD BE INVOLVED IF IT'S SIMPLY A
24 RADIOLOGICAL ABOUT 95 PERCENT OF IT WOULD BE
25 REMOVED JUST BY TAKING YOUR CLOTHES OFF AND

1 CHANGING YOUR CLOTHES. WE KNOW THERE IS AN ALREADY
2 PERCEIVED FEAR FACTOR ABOUT RADIATION OUT THERE
3 WITH OUR PUBLIC AND WE DON'T SEE ANY REASON TO
4 ENHANCE THAT FEAR ANYMORE. THE MORE WE CAN TRAIN
5 PEOPLE AND EDUCATE PEOPLE ON HOW TO RESPOND TO A
6 RADIOLOGICAL EVENT, THE SMOOTHER IT IS GOING TO
7 BE. THERE'S GOING TO BE SOME MEASURE OF CHAOS
8 JUST BECAUSE THAT'S THE NATURE OF A CRISIS. BUT
9 ANYTHING WE CAN DO TO LOWER THAT CENSUS CONCERN
10 WOULD BE IMPORTANT.

11 THIS RADIATION RESPONSE VOLUNTEER CORPS IS NOT
12 LIMITED TO JUST MEDICAL AND HEALTH PHYSICISTS TO
13 PARTICIPATE. IT IS ANYBODY WHO WISHES TO
14 VOLUNTEER. I CAN'T CALL UPON YOU TO COME HELP ME
15 DO POPULATION MONITORING IF YOU ARE NOT A MEMBER
16 OF THE CITIZENS CORPS. AND THE ONE THAT WE ARE
17 AFFILIATED WITH IS THE MEDICAL RESERVE CORPS.

18 THEY HAVE ADDED ADDITIONAL FIELDS OF
19 INFORMATION TO CAPTURE YOUR INFORMATION. IF
20 YOU'RE A CHP, IF YOU'VE HAD BACKGROUND IN
21 RADIATION PROTECTION, IF YOU ARE NUCLEAR MEDICINE,
22 MEDICAL PHYSICIST, THEY GET ALL THAT INFORMATION.
23 IF YOU'RE A PHYSICIAN, THEY HAVE ALL THE
24 INFORMATION ON THAT. SO THAT IF YOU -- IF WE HAVE
25 SOMETHING, WE CAN THEN DO THE CALL THEM THROUGH

1 THE 33 UNITS, CALL UPON YOU TO COME TO A LOCATION
2 AND HELP US OUT.

3 THE SECOND WAVE WE HAVE, IS THAT WE REALLY,
4 REALLY, NEED SOME SUBJECT MATTER EXPERTS. WE NEED
5 SOME EMERGENCY ROOM PHYSICIANS AND NURSES AND THE
6 EMERGENCY ROOM AND RADIOLOGIST AND RADIATION
7 ONCOLOGIST THAT WANT TO STEP UP TO THE PLATE TO BE
8 THE MEDICAL SUBJECT MATTER EXPERT TO HELP
9 EMERGENCY ROOMS OUT WITH CONTAMINATION AND
10 RADIATION EXPOSURE.

11 AND SO, WE'VE ACQUIRED SOME ADDITIONAL
12 EDUCATIONAL STIPEND MONEY FOR ATTENDING A COURSE
13 IN OAKRIDGE, TENNESSEE. WE'VE MADE ARRANGEMENTS
14 WITH REAC/TS UP THERE TO HOLD OPEN SPACES. I HAVE
15 35 SPACES DESIGNATED FOR COURSES BEGINNING IN
16 JANUARY THROUGH JUNE. AGAIN, THE TARGET AUDIENCE
17 IS MEDICAL PEOPLE. IT'S A RADIATION EMERGENCY
18 MEDICINE IS THE NAME OF THE COURSE. IT'S
19 HANDS-ON. THEY PLAY WITH REAL STUFF. AND I THINK
20 IF WE CAN GET A GROUP OF THOSE INDIVIDUALS
21 IDENTIFIED, THEN THEY COULD AT LEAST THROUGH
22 COMMUNICATIONS TALK OTHER EMERGENCY ROOMS IN
23 HELPING AND KNOWING HOW TO HANDLE THOSE PEOPLE.

24 THE APPLICATION IS ON OUR WEBSITE. AND I
25 WOULD ENCOURAGE YOU TO LOOK AT IT AND ENCOURAGE

1 YOU TO SHARE IT WITH INDIVIDUALS ON YOUR STAFF, AT
2 YOUR HOSPITALS OR IN YOUR PROFESSIONAL
3 ORGANIZATIONS.

4 THE SECOND ONE IS 15 POSITIONS OPEN FOR SOME
5 HEALTH PHYSICISTS AND MEDICAL PHYSICISTS TO DO THE
6 RADIOLOGICAL COMPONENT OF IT. AND IT'S A FOUR AND
7 A HALF DAY COURSE. AND IT WILL HELP US TRY TO USE
8 SOME MEASUREMENTS THAT WE MIGHT GET, EXTERNAL
9 MEASUREMENTS TO DETERMINE HOW MUCH INTERNAL
10 CONTAMINATION MIGHT BE AVAILABLE. THEY WILL HELP
11 WITH SOME BIO ASSAYS AND HOW TO DO SOME RULE OF
12 THUMB AND SOME DOWN AND DIRTY CALCULATIONS BASED
13 ON LIMITED AMOUNT OF INFORMATION.

14 IN AN INCIDENT WE'RE NOT GOING TO HAVE AN
15 OPPORTUNITY TO DO 24-HOUR URINALYSIS FOR BIO'S.
16 IT'S NOT GOING TO HAPPEN. SO WE'VE GOT TO HAVE
17 THOSE QUICK TIPS. SO I NEED 15 PEOPLE THAT CAN
18 KIND OF TAKE CHARGE OF THAT KIND OF STUFF IN
19 FLORIDA OR HAVE THEM AVAILABLE.

20 SO THOSE ARE BOTH OPPORTUNITIES YOU CAN TAKE
21 BACK TO YOUR INSTITUTIONS AND SHARE WITH YOUR
22 STAFF AND APPLICATIONS FOR THE HEALTH PHYSICISTS, I
23 HAVE TO HAVE THOSE BY THE END OF OCTOBER. I HAVE
24 UNTIL THE END OF NOVEMBER FOR THE MEDICAL
25 PERSONNEL. DID I SAY THAT WRONG?

1 MR. FUTCH: UNLESS I HAVE IT WRONG ON THE
2 WEBSITE.

3 MS. GILLEY: YEAH, YOU HAVE IT WRONG ON THE
4 WEBSITE. THE FIRST COURSE FOR THE HEALTH
5 PHYSICISTS IS FEBRUARY THE 4TH, THE WEEK OF
6 FEBRUARY ON THE 4TH. OKAY.

7 WE ARE GOING TO BE DOING SOME MORE REAC/TS
8 REGIONAL TRAINING. WE RECEIVED FUNDING FOR SIX
9 MORE OF THESE. I AM TARGETING THE LEVEL ONE
10 TRAUMA CENTERS. AND OUR FIRST 12 THAT WE DID, WE
11 GOT GREAT PARTICIPATION, BUT WE DIDN'T GET AS MANY
12 EMERGENCY PHYSICIANS AND NURSES AS WE THOUGHT WE
13 NEEDED.

14 PARAMEDICS, I THINK WE PROBABLY TURNED ON LOTS
15 OF LIGHT BULBS WITH PARAMEDICS. THEY'RE NOW NOT
16 NEARLY AS AFRAID OF THE CONTAMINATED PATIENT AS
17 THEY WERE BEFORE THEY TOOK THE COURSE.

18 SO, I'VE BEEN WORKING WITH THOSE. THERE WOULD
19 BE MIAMI, TAMPA, ORLANDO, BROWARD AND
20 JACKSONVILLE. I'M MISSING ONE. BUT THERE'S SIX
21 OF THOSE THAT WE WILL BE DOING THE FIRST SIX
22 MONTHS OF NEXT YEAR.

23 THE LAST ONE, WHICH IS NOT ON HERE, THAT WE
24 JUST RECEIVED NOTIFICATION ON TUESDAY THAT WE
25 RECEIVED IS THE HEALTH PHYSICS SOCIETY OF THE

1 STATE OF FLORIDA PUT TOGETHER A GRANT APPLICATION.
2 THEY SPREAD THE WEALTH A LITTLE BIT. NOT ONLY
3 WERE THEY ACCEPTING GRANTS FROM THE STATE, BUT
4 THEY WOULD ACCEPT APPLICATIONS FROM PROFESSIONAL
5 ORGANIZATIONS. AND THEY SUBMITTED AN APPLICATION.
6 AND THEY RECEIVED PERMISSION TO -- OR THEY'LL
7 RECEIVE THE FUNDING TO DO ANOTHER LEVEL OF
8 INTERNAL CONTAMINATION RESPONDERS.

9 WE ALREADY KNOW WE DON'T HAVE ALL THE FIXES.
10 IF YOU GET SOMEBODY AND YOU DECON AND YOU DECON,
11 AND THEY'RE STILL GOING THROUGH THE PORTAL MONITOR
12 SETTING IT OFF, IT'S NOT DECON ANYMORE. IT'S
13 INTERNAL CONTAMINATION. AND WE NEED A SUBSET OF
14 PROFESSIONALS THAT KNOW HOW TO TAKE CARE OF THOSE
15 PEOPLE AND HOW TO TRIAGE THOSE PEOPLE. WHO'S
16 GOING TO GET THE RELATION THERAPY, WHO'S NOT AND
17 THOSE KIND OF THINGS. SO THAT'S THE NEXT ONE-DAY
18 COURSE. THAT IS MARCH 19TH. AND MORE INFORMATION WILL BE ON
19 OUR WEBSITE AS SOON AS WE GET IT UP.

20 DR. JANOWITZ: ARE THE DATES FOR THE REAC/TS
21 THREE AND A HALF DAY COURSE ON THE WEBSITE?

22 MS. GILLEY: THE DATES ARE ON THE APPLICATION.
23 IF YOU LOOK AT THE APPLICATION FOR THE THREE AND A
24 HALF DAY.

25 MR. FUTCH: CAN I INTERRUPT FOR JUST A SECOND.

1 THIS PIECE OF PAPER THAT CAME AROUND TO YOU THAT
2 SAYS RADIATION TRAINING COURSES CIRCLED ON THE TOP
3 OF IT. THAT IS ON THE WEBSITE. AND IT'S ACTUALLY
4 GOT DATES. AND ACCORDING TO DEB I NEED TO FIX
5 THEM BECAUSE THEY'RE WRONG. THE JUST -- THE FIRST
6 PARAGRAPH IS THE ONE FOR THE THREE AND A HALF DAY
7 COURSE FOR THE DOC'S IN OAKRIDGE. AND THE BOTTOM
8 OF THE FIRST PAGE BEGINNING THE DISCUSSION OF FOUR
9 AND A HALF DAY COURSE OF HEALTH PHYSICISTS AND
10 MEDICAL PHYSICISTS.

11 IF YOU TURN OVER TO PAGE TWO, IT ALSO TALKS
12 ABOUT THE RADIATION RESPONSE VOLUNTEER REPORT.
13 ADDITIONAL LINKS FOR THAT. IT'S ACTUALLY
14 REGISTRATION DATE ON-LINE FOR THAT. IT'S NOT AN
15 APPLICATION.

16 THE LAST ONE IS THE EMERGENCY MANAGEMENT.
17 THAT IS THE ONE AND A HALF DAY COURSE FOR THE
18 REAC/TS FOLKS IN FLORIDA. THAT ALSO HAS ITS OWN
19 REGISTRATION PAGE. AND ACTUALLY YOU HAVE COPIES
20 OF THE REGISTRATION PAGE, TWO OF THOSE ON YOUR D-1
21 AND D-2. BUT THESE ARE ALL NOW ALL THE TRAINING
22 IS ACCESSIBLE THROUGH THE CENTRALIZED RADIATION
23 TRAINING COURSE PAGE ON OUR WEBSITE. IF YOU GO
24 BACK TO THE FIRST PAGE I HANDED OUT IN MY
25 DISCUSSION, THE PICTURES ON THE FRONT OF IT AND

1 THE TWO ARROWS. ONE OF THE ARROWS IS ACTUALLY TO
2 RADIATION TRAINING COURSE PAGE. SO ALL YOU NEED
3 TO KNOW FROM US IS GO TO THE HOME PAGE. YOU WILL
4 FIND THE LINK ON THE SIDE AND FIND THE PARAGRAPH
5 ON THE FRONT.

6 DR. SCHENKMAN: IS THIS THE ONE WITH RADIATION
7 TRAINING ON IT?

8 MR. FUTCH: THE RADIATION TRAINING COURSES,
9 RADIATION TRAINING COURSES IS CIRCLED ON THE TOP
10 OF IT. THE HANDOUT, THE LAST ONE. I JUST NEED TO
11 SWAP THE DATES ON THE THREE AND A HALF TO FOUR AND
12 A HALF.

13 MS. GILLEY: THE HEALTH PHYSICS COURSE, THE
14 FIRST OFFERING IS IN FEBRUARY. WE MOVED THAT
15 APPLICATION DATE UP A MONTH SO WE'D HAVE AN
16 OPPORTUNITY TO IDENTIFY THOSE INDIVIDUALS WHO
17 NEVER HAVE TIME TO GET IT.

18 THE EDUCATIONAL STIPEND THAT WE'RE CALLING IT,
19 SHOULD COVER ALL OF THOSE TRAVEL COSTS TO SPEND
20 THE TIME UP THERE. WE PICKED FOUR OR FIVE MAJOR
21 METROPOLITAN CITIES, LOOKED AT AIRFARE UP THERE,
22 LOOKED AT HOTEL ACCOMMODATIONS UP THERE SO THAT
23 THERE WOULD NOT BE ANY OUT OF POCKET EXPENSE.

24 WE'RE KIND OF HOPING THAT THE EMPLOYERS WOULD
25 TAKE CARE OF ADMINISTRATIVE LEAVE FOR THEM FOR

1 THAT PURPOSE. WE CAN'T PAY SALARIES. WE DON'T
2 HAVE THAT KIND OF MONEY.

3 AND THERE'S A LITTLE TRI-FOLD. I HAVE MORE OF
4 THESE IF YOU'RE GOING TO A MEETING OR YOU HAVE
5 OTHER OPPORTUNITIES, I WOULD BE GLAD TO SEND YOU
6 SOME. THE LITTLE REGISTERED RESPONSE VOLUNTEER
7 FORM, WE'VE HAD GREAT RESPONSES FOR. WE HAD 64
8 INDIVIDUALS SHOW UP ON SEPTEMBER THE 11TH IN
9 JACKSONVILLE. SATURDAY GUYS. AND WE HAD ABOUT 40
10 IN MIAMI JUST LAST WEEK ON FRIDAY.

11 WE HAVE THE NEXT TRAINING COMING UP HERE IN
12 ORLANDO. IT'S A FUN COURSE. IT IS VERY
13 APPROPRIATE FOR STUDENTS TO ATTEND. IT IS
14 HANDS-ON. THERE'S NOT A LOT OF DIDACTIVE. BUT
15 YOU GET TO PLAY WITH EQUIPMENT. SO IT'S A REAL
16 FUN COURSE. IT'S A GOOD WAY TO MAKE SEVEN HOURS
17 OF CONTINUED EDUCATION FOR RADIOLOGIC
18 TECHNOLOGISTS AND NURSING.

19 MR. FUTCH: IT'S ALSO A GREAT WAY TO NETWORK
20 WITH THE FOLKS IN YOUR OWN LOCAL COMMUNITY THAT
21 ARE INTERESTED AND KNOWLEDGEABLE IN THE MATTER.
22 I'M PREACHING TO THE CONVERTERS HERE.

23 MS. GILLEY: JUST A STORY. THE NUCLEAR
24 MEDICINE TECHNOLOGISTS AT SARASOTA MEMORIAL MET
25 EMERGENCY ROOM PERSONNEL AT ONE OF THESE

1 TRAININGS. SO, YOU KNOW, THEY HAD NEVER CROSSED
2 PATHS. DIDN'T KNOW THAT THOSE RESOURCES WERE
3 AVAILABLE WITHIN THEIR ORGANIZATION. DIDN'T KNOW
4 THEY COULD CALL SOMEBODY LOCALLY. SO ANOTHER GOOD
5 WILL COMPONENT.

6 DR. JANOWITZ: SEE ANOTHER 24 AND A HALF HOURS
7 OF CME.

8 MS. GILLEY: FOR PHYSICIANS, YES. THAT MAY
9 MAKE IT WORTH YOUR WHILE.

10 DR. JANOWITZ: THREE AND A HALF DAYS IS A LONG
11 --

12 MR. FUTCH: IN OAKRIDGE, TENNESSEE, THERE ARE
13 MANY THINGS TO DO. THEY HAVE TREES THAT ARE
14 RADIOACTIVE THERE.

15 DR. WILLIAMS: TO TAKE THE THREE AND A HALF
16 DAY COURSE, WE HAVE TO TAKE THE OTHER COURSE
17 FIRST?

18 MS. GILLEY: NOT NECESSARILY. NO. WE WERE
19 JUST TRYING IF WE GOT MORE THAN 35 APPLICATIONS,
20 WE WERE GOING TO PRIORITIZE BASED ON THOSE
21 QUESTIONS.

22 DR. WILLIAMS: IS THIS BEING THROUGH THE FRS
23 WEBSITE AND FMA WEBSITE?

24 MS. GILLEY: IT DID GO OUT THROUGH THE FLORIDA
25 MEDICAL ASSOCIATION. DR. LANDSA MADE SURE IT GOT

1 IN ONE OF THEIR WEEKLY OR BI-WEEKLY E-MAIL
2 LETTERS.

3 DR. WILLIAMS: THE FMA OR THE FRS?

4 MS. GILLEY: THE FMA. I HAVE NOT DONE ANY
5 OUTREACH WITH FRS. BUT PLEASE DO IF YOU HAVE --

6 DR. WILLIAMS: I THINK IT WOULD BE A GOOD VENUE
7 I THINK TO UTILIZE INTERESTED PEOPLE AND PEOPLE
8 MIGHT SEE IT, YOU KNOW.

9 MS. GILLEY: I WILL. I'LL CONTACT ALLISON.

10 DR. WILLIAMS: HOW ABOUT THE RESIDENCY PROGRAM
11 AS WELL?

12 MS. GILLEY: I CONTACTED THE RESIDENCY
13 PROGRAMS TO GET INTEREST WITH SOME OF THEIR
14 INSTRUCTORS TO DO IT, IF THEY DO HAVE THE
15 INFORMATION. I HAVEN'T GOT ANY FEEDBACK YET FROM
16 THEM. AND I ALSO WENT TO THE COLLEGE OF EMERGENCY
17 ROOM PHYSICIANS IN ORLANDO. AND THEY'VE BEEN REAL
18 GOOD TO DO OUTREACH FOR ME ALSO. AND THEY
19 CONTACTED EMERGENCY ROOM NURSES ALSO.

20 DR. JANOWITZ: YOU MIGHT WANT TO CONTACT THE
21 SOUTHEASTERN CHAPTER OF THE AMERICAN ASSOCIATION OF PHYSICISTS
22 IN MEDICINE. THEIR IS COMING UP THIS MONTH.

23 MS. GILLEY: OKAY. OTHER SUGGESTIONS? I LIKE
24 IT. THANK YOU. I'M STILL ON. OKAY. THE OTHER
25 THING IS NOT ONLY ARE WE TRYING TO DO OUTREACH

1 WITH OUR LICENSEES AND REGISTRANTS. YOU'RE NOT
2 FAMILIAR WITH THE WAY WE HAVE REORGANIZED THE
3 BUREAU OF RADIATION CONTROL. WE NOW DO TELEWORK
4 FOR MOST OF OUR INSPECTORS. IN SOME INSTANCES,
5 THOSE INSPECTORS DO NOT SEE OTHER BUREAU OF
6 RADIATION CONTROL PEOPLE EXCEPT MAYBE MONTHLY
7 STAFF MEETINGS. SOME OF THE MANAGERS STILL DO
8 WEEKLY STAFF MEETINGS.

9 SO IN AN ATTEMPT TO HAVE THEM AWARE OF WHAT IS
10 GOING ON, ESPECIALLY WHAT'S GOING ON IN
11 TALLAHASSEE, WHICH, YOU KNOW, WE HAVE BEEN KNOWN
12 AS THE EMERALD CITY FOR MANY REASONS. WE STARTED
13 A HOT SPOT WHICH IS AN E-BULLET, E-MAILING SYSTEM
14 WITH JUST BITS OF INFORMATION IF THEY ARE
15 INTERESTED GO AND FIND MORE INFORMATION OF.

16 SO WE'VE HAD TWO ISSUES THAT WE'VE SENT OUT SO
17 FAR. THE THIRD ISSUE SHOULD GO OUT SOME TIME
18 LATER THIS WEEK OR THE FIRST PART OF NEXT WEEK.
19 AND IT'S JUST OUR EFFORTS. IF THERE ARE THINGS
20 THAT YOU THINK WOULD BE OF INTEREST TO OUR STAFF,
21 WE WOULD LOVE TO BE ABLE TO ADD THAT TO THEIR
22 KNOWLEDGE BASE ON ACTIVITIES THAT IS GOING ON.
23 ONE OF THE THINGS THAT I'VE GOT TO PUT IN THERE IS
24 HEY SEE, YOU KNOW, CALIFORNIA PASSED THE CT RULES.
25 IF YOU WANT TO KNOW MORE ABOUT IT, GO HERE. AT

1 LEAST LET ME KNOW THERE ARE SOME ACTIVITIES GOING
2 ON IN OTHER STATES OF WHAT THEY'RE DOING. THERE
3 IS PERSONAL STUFF IN THERE, TOO. LIKE SOMEBODY
4 GOT MARRIED OR SOMEBODY'S DAUGHTER GOT MARRIED.

5 MS. LIVINGSTON: MINE.

6 MS. GILLEY: WE USED TO HAVE AN ANNUAL MEETING
7 BEFORE WE HAD THE BUDGET REDUCTIONS AND IT WAS A
8 GREAT OPPORTUNITY FOR OUR STAFF TO NETWORK AND
9 BUILD RELATIONSHIPS AND FRIENDSHIPS WHICH ARE VERY
10 IMPORTANT FOR US IN THE CASE OF AN EMERGENCY. SO
11 THIS IS ATTEMPT TO KIND OF ELECTRONICALLY
12 KEEP PEOPLE IN THE KNOW.

13 DR. JANOWITZ: OKAY. I GUESS WE'RE GOING TO
14 JAMES ON PROPOSED LEGISLATION.

15 MR. FUTCH: AHEAD OF SCHEDULE. SO GREAT.
16 THIS FIRST HALF OF THIS SECTION A LOT OF THINGS
17 WE'VE SEEN IN HERE BEFORE. I REALLY DON'T WANT TO
18 GO BACK THROUGH IN DETAIL ALL OF THIS.

19 I'VE PROVIDED YOU WITH PIECE OF LEGISLATION
20 YOU HAVE SEEN BEFORE AND RECOMMENDED FAVORABLY BE
21 CHANGED AND THE LAW BE ADOPTED. THIS IS E-1, YOUR
22 TAB E-1. THIS IS THE X-RAY FEE LEGISLATION. IT
23 IS ACTUALLY MORE THAN X-RAY FEES. BUT A SITUATION
24 HERE WITH THE X-RAY PROGRAM, IS THE LAST FEE
25 INCREASE WAS, CORRECT ME IF I'M WRONG,

1 APPROXIMATELY 1991, SOMEWHERE IN THAT
2 NEIGHBORHOOD. AND THEN THAT TOOK THEM TO THE
3 STATUTORY CAPS FOR THE PROGRAM, WHICH IS THE X-RAY
4 MACHINE REGISTRATION AND INSPECTION PROGRAM.

5 IN THE MID TO LATE 90'S, THE PROGRAM WAS AGAIN
6 IN A DEFICIT. AND SINCE THERE WERE NOT OTHER
7 STATUTORY CAPS, INSTEAD OF TRYING TO GET THE LAW
8 CHANGED, BECAUSE THAT IS VERY DIFFICULT TO DO,
9 THEY CHANGED THE INSPECTION FREQUENCY FROM EVERY
10 YEAR ROUTINELY FOR MOST X-RAY MACHINES TO EVERY
11 TWO YEARS, UNLESS THE MACHINES HAVE A PROBLEM FROM A
12 PREVIOUS INSPECTION.

13 NEXT PERIOD WE GO FORWARD FOR ANOTHER FIVE OR
14 TEN OR SO YEARS, AND NEEDLESS TO SAY THEY'RE IN A
15 POSITION NOT BEING ABLE TO SUPPORT THE PROGRAM
16 FINANCIALLY. AND THEREFORE, THE GENESIS OF THIS
17 LEGISLATION. AND WHAT THIS DOES, AND I WON'T GO
18 INTO GREAT DETAIL, BASICALLY ELIMINATES A LOT OF
19 THE STATUTORY FEE CAPS AND ALSO THE LANGUAGE THAT
20 TIES NOT JUST THE FEES BUT THE MACHINE INSPECTION
21 FREQUENCIES TO ARTIFICIAL DESIGNATIONS OF THE KIND
22 OF FACILITY IN WHICH THE MACHINE IS USED. AND
23 ELIMINATED ALL OF THAT. PART OF THAT TECHNOLOGY
24 DRIVEN. THERE ARE A LOT OF DENTISTS OUT THERE
25 WITH CT'S. THERE ARE ALL SORTS OF THINGS THAT

1 NOBODY EVER IMAGINED THAT LEGISLATION HAS PUT
2 TOGETHER. THIS FIXES THAT. AND IN THE BEGINNING
3 OF IT, IT ALSO ADDS THE ABILITY TO RECOVER SOME
4 COSTS FOR THINGS THAT SOME OF THE OTHER PROGRAMS
5 DO, LIKE INVESTIGATION AND LICENSE ACTIVITY.
6 THINGS THAT KIND OF CAME A LONG WELL AFTER THIS
7 LEGISLATION WAS ESTABLISHED.

8 I PUT IT OUT IN FRONT OF YOU TO SAY THAT EVERY
9 YEAR -- THE X-RAY MACHINE LEGISLATION, TWO OTHER
10 THINGS THAT IT DOES IS THAT IT ENABLES US TO
11 RECOVER COSTS FOR THINGS WE CURRENTLY COVER. FOR
12 EXAMPLE, NON ISSUES, DUPLICATE CERTIFICATES AT THE
13 REQUEST OF THE RADIATION MACHINE REGISTRANTS.
14 THAT'S NOT SOMETHING WE CAN COVER THE COST FOR.
15 AND ALSO FOR THE WHOLE REGISTRATION WITH THE
16 VENDORS AND THE INSTALLERS.

17 SO WE SUBMITTED IT IN AND YET AGAIN THIS YEAR
18 IT WAS NOT PICKED UP. SO THE ONLY WAY THIS IS
19 GOING TO HAPPEN IS SOME OUTSIDE PARTY DECIDES TO
20 SUPPORT IT AND I'M NOT GOING TO HOLD MY BREATH FOR
21 THAT TO HAPPEN.

22 BUT I WOULD LIKE TO KEEP IT IN THE COUNCIL'S
23 MEMORY. AND WE WILL TRY AGAIN AT OUR NEXT
24 OPPORTUNITY, AND UNLESS YOU GUYS TELL US
25 OTHERWISE. I DON'T THINK YOUR OPINION HAS

1 PROBABLY CHANGED SINCE THE LAST MEETING WE
2
3 DISCUSSED THIS. ENOUGH SAID ABOUT X-RAY MACHINE
4 FEES AND THE OTHER PARTS OF IT.

5 THE NEXT THING IS SPECIALTY TECHNOLOGISTS.

6 THIS IS ALSO SOMETHING YOU'VE SEEN BEFORE. THIS
7 IS A DIFFERENT STATUTE. THIS IS CHAPTER 468. THE
8 PREVIOUS ONE WAS CHAPTER 404. THIS IS EXACTLY THE
9 SAME LEGISLATION YOU'VE SEEN FOR THE PAST PROBABLY
10 THREE OR FOUR MEETINGS.

11 YOU HAVE VOTED FAVORABLY IN SUPPORT OF THIS
12 BEING ADOPTED PREVIOUSLY. AND HOPEFULLY YOU HAVE
13 NOT CHANGED YOUR MIND AND CONTINUE TO DO THAT.
14 THIS ADDS THE NEW TYPES OF TECHNOLOGISTS TO THE
15 RAD TECH LAWS SO THAT WE CAN DO THINGS LIKE
16 CERTIFY NUCLEAR MED TECH'S, IN CT, WHEN THEY HAVE A
17 LICENSE FROM ARRT, IN CT, TO BE ABLE TO DO FULL CT IN
18 THE STATE OF FLORIDA, WHICH THEY'RE CURRENTLY
19 PROHIBITED FROM DOING EVEN IF THEY HAVE A LICENSE
20 THAT SAYS THEY CAN DO IT FROM THE NATIONAL
21 REGISTRY.

22 STILL CONTINUE TO GET LOTS OF CALLS FROM
23 NUCLEAR MED TECH'S AND THEIR FACILITIES WHO WOULD
24 LIKE THAT TO BE CHANGED AND ALLOW US TO ISSUE
25 THOSE LICENSES. AND UNLESS AND UNTIL THIS ACTUALLY HAPPENS THEY
26 WON'T BE ABLE TO.

1 THE OTHER THING THAT IS RELATIVELY NEW TO THIS
2 IS SOME FACILITIES ARE NOW STARTING TO ASK ABOUT A
3 NEW TECHNOLOGY CALLED POSITRON EMISSION MAMMOGRAPHY
4 OR PEM. AND THEY'RE ASKING ABOUT IT IN THE
5 CONTEXT OF IT'S A NUCLEAR MEDICINE PROCEDURE.
6 THEY WOULD LIKE THEIR STATE LICENSED GENERAL
7 RADIOGRAPHERS WHO ARE MAMMOGRAPHERS TO BE ABLE TO
8 PARTICIPATE IN THE PROCEDURES, ESPECIALLY THE SET
9 UP. BECAUSE APPARENTLY THE FRONT END OF THE PEM
10 MACHINE IS A LOT LIKE THE FRONT END OF ANY KIND OF
11 MAMMOGRAPHY MACHINE, IT INTERFACES WITH THE FEMALE
12 BODY QUITE WELL.

13 AND UNFORTUNATELY, THE STATE GENERAL
14 RADIOGRAPHERS ARE PROHIBITED FROM DOING NUCLEAR
15 MEDICINE. SO ONE POSSIBLE SOLUTION TO THAT IS, IF
16 THE SPECIALTY TECHNOLOGIST LEGISLATION WERE TO
17 PASS, THOSE FACILITIES WHO WANTED THEIR GR'S TO
18 PARTICIPATE IN NUCLEAR MEDICINE COULD ACTUALLY GO
19 TO NMTCB AND HAVE THEIR FOLKS GET THESE SPECIAL
20 CERTIFICATIONS IN PET, WHICH HAS BEEN AVAILABLE FOR
21 SEVERAL YEARS NOW FOR PEOPLE NOT FOR NUCLEAR
22 MEDICINE BACKGROUNDS. LIKE GENERAL RADIOGRAPHERS.

23 IF THEY OBTAIN THAT, THESE FACILITIES CAN
24 COME TAKE THAT LICENSE, GIVE IT TO US IN FLORIDA.
25 IF THEY HAD THE SPECIAL TECHNOLOGIST LICENSE, WE

1 COULD THEN GIVE THEM A FLORIDA LICENSE TO DO
2 ESSENTIALLY THE SAME THING THAT THEY CAN DO
3 NATIONALLY. AND THOSE GENERAL RADIOGRAPHERS COULD
4 THEN ASSIST WITH THIS NEW PEM PROCEDURE.

5 SO, THE GOOD THING ABOUT THIS IS THAT WE HEARD
6 THAT ALTHOUGH THE DEPARTMENT DID NOT PICK THIS UP
7 AS ONE OF ITS ISSUES, THEY WOULD BE SUPPORTIVE OF
8 THIS IF IT WERE PUSHED BY AN OUTSIDE GROUP SUCH AS
9 SOME OF THESE FACILITIES THAT HAVE THE PROBLEM
10 WITH THE LICENSURE OF THE TECHS.

11 AND ACTUALLY TWO OF THOSE FACILITIES HAVE
12 CONTACTED US AND EXPRESSED INTEREST IN DOING THAT.
13 AND HOPEFULLY THAT INTEREST WILL ACTUALLY
14 TRANSLATE INTO SOME SORT OF LOBBYING ACTION AND
15 THE THING COMES ALONG. EXCUSE ME.

16 ONE THING THAT WOULD HELP US TO KNOW THAT THE
17 COUNCIL IS STILL IN SUPPORT OF THIS LEGISLATION
18 AND IF POSSIBLE WE CAN GET A VOTE TO JUST REAFFIRM
19 OUR PREVIOUS STANDING IN SUPPORT OF THE COUNCIL TO
20 DO THE LEGISLATION.

21 DR. JANOWITZ: ANY DISCUSSION ON THAT ISSUE?

22 DR. WILLIAMS: I HAVE A QUESTION. JUST SO
23 THAT I UNDERSTAND WHAT IT IS, I APOLOGIZE FOR NOT
24 BEING COMPLETELY CLEAR. WE'RE SAYING THAT A
25 MAMMOGRAPHER CAN GO TO NMTCB TO A COURSE GET A

1 CERTIFICATE THAT SAYS THEY PASSED AND COME BACK AND BE
2 PATENTED.

3 MR. FUTCH: RIGHT. IT'S A LITTLE MORE
4 INVOLVED THAN THAT. THE PREREQUISITES THAT NMTCB
5 HAVE SET UP REQUIRES THE PERSON TO ACTUALLY HAVE
6 CLINICAL EXPERIENCE AND TRAINING IN THE PET BEFORE
7 THEY SIT FOR THE EXAM.

8 WHAT THEY REALLY DID WAS THEY RECAST THE PET
9 EXAM FOR SOMEONE WHO IS FROM AN EDUCATIONAL
10 BACKGROUND OF MOSTLY RADIOGRAPHY PLUS THE
11 ADDITIONAL CLINICAL EXPERIENCE THEY'VE GOTTEN
12 THROUGH THE SUBSEQUENT PROGRAMS, SUBSEQUENT
13 EDUCATIONAL PROGRAMS.

14 REALLY WHAT BOTH ARRT AND NMTCB DID SEVERAL
15 YEARS BACK, WHEN THE PET CT CAME OUT, WAS ARRT
16 RECAST THE CT EXAM AND SAID OKAY WELL, NOW WE'RE
17 NOT LOOKING AT SOMEBODY WHO CAME THROUGH THE
18 TRADITIONAL RADIOGRAPHY PATHWAY, WE'RE LOOKING AT SOMEBODY
19 WHO CAME THROUGH THE TRADITIONAL NUCLEAR MEDICINE
20 PATHWAY AND THEN DID ADDITIONAL TRAINING AFTER
21 THAT. SO THEY RECAST THE CT EXAM SO IT WOULD
22 APPLY TO THAT POPULATION. NMTCB DID THE SAME
23 THING IN THE OPPOSITE DIRECTION, RECAST THE PET
24 EXAM SO THAT IT WOULD APPLY TO SOMEBODY WHO CAME
25 FROM THE TRADITIONAL RADIOGRAPHY EDUCATIONAL

1 BACKGROUND WITH THE ADDITIONAL NUCLEAR MEDICINE
2 CAME AFTER GRADUATION FROM SCHOOL. I HOPE I
3 HAVEN'T CONFUSED YOU.

4 IT'S ALL BECAUSE TECHNOLOGY CONTINUES TO
5 CHANGE. WE HAVE THESE THREE AREAS OF TRADITIONAL
6 RAD TECH CERTIFICATION. RADIOGRAPHY WILL BE
7 FOCUSED ON X-RAY MACHINES AND TUBES, NUCLEAR
8 MEDICINE, ON MATERIALS, RADIATION THERAPY ON CANCER.
9 YOU KNOW, FOR THE PAST 15 OR MORE YEARS
10 MANUFACTURERS HAVE BEEN MERGING THOSE THINGS AND
11 THEY WILL CONTINUE TO MERGE THOSE THINGS. AND
12 THIS PROBLEM WILL GET WORSE AND WORSE AND WORSE.

13 MY FEAR IS THAT THE FIELD GETS SO WORSE THAT
14 THERE WILL BE SUCH A PRESSURE OUT THERE, PEOPLE
15 WILL JUST START VIOLATING THE LAW AND DO IT ANYWAY
16 BECAUSE NOBODY WILL CHANGE THE LAW IN A COMMON
17 SENSE FASHION, WHICH NO ONE HAS EVER BEEN IN
18 OPPOSITION TO. AND WE DON'T WANT THAT TO HAPPEN.

19 SO, GO AHEAD KATHY.

20 MS. DROTAR: PEOPLE THAT ARE GOING TO TAKE
21 THAT COURSE, THAT PATHWAY THOUGH, ARE ALSO GOING
22 TO HAVE TO MEET THE REQUIREMENTS FOR MAMMOGRAPHY AND
23 CONTINUED EDUCATION IN ORDER TO DO THE MAMMOGRAPHY
24 PART?

25 MR. FUTCH: THAT BRINGS UP ANOTHER POINT. WE

1 RIGHT NOW IN FLORIDA DON'T HAVE ANY REQUIREMENTS
2 AT THE STATE LEVEL ON THE MAMMOGRAPHER BEYOND WHAT
3 IS REQUIRED FOR ALL GENERAL RADIOGRAPHY. IT IS
4 ENTIRELY UP TO THE FACILITY THROUGH WHATEVER
5 PRESSURES THEY'RE GETTING FROM INSURANCE
6 REIMBURSEMENT OR FROM JOINT COMMISSION OR FROM
7 CENTER FOR MEDICARE AND MEDICAID SERVICES. AND OF COURSE
8 THE NMTCB. TO HAVE THOSE FOLKS MEET THE
9 ADDITIONAL NATIONAL REGISTRY REQUIREMENTS TO DO
10 MAMMOGRAPHY. THERE IS NO REQUIREMENT OF STATE LAW
11 THEY HAVE TO DO THAT.

12 IF THE SPECIALTY TECHNOLOGIST LAW LEGISLATION
13 WERE PUT INTO PLACE, WE WOULD ACTUALLY HAVE A
14 MAMMOGRAPHY CATEGORY WE CAN GIVE THEM IN FLORIDA
15 THAT WOULD APPEAR ON THEIR FLORIDA LICENSE AND
16 THEY WOULD GET THAT THROUGH ENDORSEMENT AT THEIR
17 NATIONAL REGISTRY CREDENTIAL.

18 THIS THING HAS BEEN SO OUT OF SHAPE FOR SO
19 MANY YEARS, YOU KNOW, IT'S REALLY, REALLY SAD.
20 DID THAT ANSWER YOUR QUESTION?

21 MS. GILLEY: MQSA DOES HAVE CONTINUING
22 EDUCATION REQUIREMENTS FOR FEDERAL COMPLIANCE.
23 AND WHEN WE INSPECT, WE INSPECT TO MAKE SURE
24 THEY'VE GOT THE 15 HOURS EVERY THREE YEARS THAT
25 THEY ARE REQUIRED TO HAVE IN MAMMOGRAPHY. BUT IT'S

1 NOT A STATE REQUIREMENT. THEY CAN USE THE SAME 15
2 HOURS TO SUPPORT THE 12 HOURS THEY NEED FOR
3 CONTINUED EDUCATION FOR THE STATE LICENSE. AND TO
4 MY KNOWLEDGE FDA HAS NOT ACKNOWLEDGED THIS PET
5 MAMMOGRAPHY AT ALL IN ANY OF THEIR ACTIVITIES AT
6 ALL.

7 MR. FUTCH: AT THIS POINT, THERE ARE ONLY TWO FACILITIES THAT
8 EVEN ASKED ABOUT IT SO FAR.

9 MR. SEDDON: MY QUESTION IS, PEM IS NOT
10 ADDITIONAL SCREENING NOW AS OF FALL AS OF YET. SO
11 THE NUCLEAR MEDICINE TECHNOLOGIST PERFORMING THE
12 PROCEDURE AND THE MAMMOGRAPHER IS THE ONE, YOU KNOW,
13 THAT AID IN POSITION OF THE PATIENTS BETTER THAN
14 THE NUCLEAR TECH TO DO SO. IT'S STILL PET. IT'S
15 STILL NUCLEAR MEDICINE, PARTICULAR FOR PEM THAT,
16 YOU KNOW, WE HAVE THE FOCUS ON NUCLEAR MEDICINE
17 SIDE RATHER THAN THE MAMMOGRAPHY SIDE.

18 THE POSITION IS IMPORTANT BUT IT REDUCES THE
19 TIME. IT TAKES SHORTER TIME FOR MAMMOGRAPHY TO DO
20 SO. THERE IS A HUGE DIFFERENCE IN BACKGROUND
21 MAMMOGRAPHER VERSUS THE NUCLEAR TECHNOLOGIST.
22 WHAT'S HAPPENED IS THE PEM REPLACES THE BODY IN
23 THE CENTERS. SO THEY PREFER NOT TO HAVE THE
24 TECHNOLOGIST THERE JUST FOR THAT ONE PARTICULAR
25 UNIT. BUT WE DO WE HAVE THE PEM UNIT IN OUR

1 DEPARTMENT. WE HAVE A MAMMOGRAPHER COME OVER AND AID
2 THE TECHNOLOGIST TO POSITION THE PATIENT. THAT
3 JUST MAKES IT EASIER.

4 MR. FUTCH: YEAH AND WE CAN ACTUALLY TALK ABOUT THAT
5 AFTERWARDS. ANY OTHER QUESTIONS? OKAY. SO THAT
6 IS THE --

7 DR. JANOWITZ: DO WE WANT TO TAKE A VOTE?
8 LET'S HAVE SOMEONE CALL A VOTE.

9 MS. BONANNO: FIRST.

10 DR. JANOWITZ: SECOND. ALL IN FAVOR OF
11 REAFFIRMING THIS?

12 BOARD MEMBERS: AYE.

13 DR. JANOWITZ: THANK YOU.

14 MR. FUTCH: MOVING ON. APPROVED PROGRAMS WE
15 HAVE THERE. IT'S TAB E-3 LOOKS LIKE THIS GREEN
16 HIGHLIGHT. THIS IS PROVIDED TO YOU FOLKS AS JUST
17 BASICALLY INFORMATIONAL. WE ARE GOING TO MAKE A
18 CHANGE TO OUR DEFINITION TO APPROVED PROGRAMS,
19 APPROVED EDUCATIONAL TRAINING PROGRAM. WHEN
20 SOMEONE APPLIES TO US, THERE ARE STATUTORY
21 REQUIREMENTS OF COURSE PROPERLY EDUCATED IN THAT
22 PARTICULAR MODALITY. IN THIS CASE WE'RE TALKING
23 ABOUT NUCLEAR MEDICINE TECHNOLOGISTS. IN ADDITION
24 TO HAVING THIS DEFINITION YOU'VE SEEN BEFORE YOU
25 APPROVED EDUCATIONAL TRAINING PROGRAM, WHICH MEANS

1 A PROGRAM THAT IS RECOGNIZED AND ACCEPTED BY ARRT
2 OR NMTCB. THEY'RE ALSO SOME REQUIREMENTS DOWN
3 BELOW FOR WHAT THEY HAVE TO PHYSICALLY PROVIDE TO
4 US. OF COURSE THEY HAVE TO PROVIDE THE
5 APPLICATION. THEY ALSO HAVE TO PROVIDE PROOF OF
6 HAVING GRADUATED FROM AN APPROVED EDUCATIONAL
7 TRAINING PROGRAM, WHICH TAKES THE FORM OF
8 TYPICALLY A TRANSCRIPT OR A DIPLOMA OR A LETTER
9 FROM THE PROGRAM DIRECTOR IF DIPLOMAS HAVEN'T BEEN
10 ISSUED YET
11 INDICATING DATE OF GRADUATION.

12 ALL OF THIS FOCUSES, AS YOU MIGHT IMAGINE, ON
13 SOME SORT OF DOCUMENTARY PROOF THAT YOU HAVE
14 GRADUATED FROM A REAL SCHOOL. MUCH TO OUR CHAGRIN
15 AND WHAT'S OCCUPIED BETSY'S LIFE AND OUR ATTORNEY,
16 SEVERAL MONTHS FOR MANY, MANY MONTHS, WE RAN
17 ACROSS AN APPLICANT WHO HAD GONE TO NMTCB AND HAD
18 A LICENSE FROM NMTCB. WHO HAD MET WHAT NMTCB
19 CALLS THEIR ALTERNATIVE ELIGIBILITY PATHWAY. THE
20 ALTERNATIVE ELIGIBILITY PATHWAY, I CAN SUMMARIZE
21 THAT FOR YOU. BASICALLY THE PERSON HAS TO DO 45
22 DEDACTED HOURS IN NUCLEAR MEDICINE WHICH CAN BE
23 SATISFIED BY AS CONTINUED EDUCATION 45 HOURS. AND
24 THEY HAVE TO HAVE DONE I THINK 8,000 HOURS IN
25 NUCLEAR MEDICINE IN THE PREVIOUS, I THINK IT'S

1 FOUR YEARS OR FIVE YEARS.

2 MS. GRANT: FOUR.

3 MR. FUTCH: AND THIS PERSON HAD MET ALL THOSE
4 REQUIREMENTS. AND UNFORTUNATELY SHE COULD NOT
5 PRODUCE A DIPLOMA OF HAVING GRADUATED FROM A
6 NUCLEAR MEDICINE PROGRAM.

7 SO, TO MAKE A LONG STORY SHORT, THIS
8 ALTERNATIVE ELIGIBILITY PATHWAY IN ORDER TO PROVE
9 YOU HAVE 8,000 HOURS IN EXPERIENCE IN NUCLEAR
10 MEDICINE, IT APPEARS THAT ALL YOU HAVE TO DO IS
11 MAKE APPLICATION, NAME YOUR EMPLOYER AND YOUR
12 SUPERVISOR.

13 AT WHICH POINT NMTCB SENDS AND AFFIDAVIT TO
14 YOUR EMPLOYER SUPERVISOR, WHO BASICALLY SIGNS THIS
15 LITTLE ONE SHEET OF PAPER SAYING YES THIS PERSON
16 HAS WORKED FROM THIS DATE TO THIS DATE IN NUCLEAR
17 MEDICINE. AND THAT SATISFIES THE EDUCATIONAL
18 COMPONENT FOR NMTCB. WELL, THIS PARTICULAR PERSON
19 NEVER, EVER INJECTED RADIOPHARMACEUTICAL INTO A
20 PATIENT. AND ONE OF OUR BRIGHT AND ATTENTIVE
21 APPLICATION PROCESSORS THANKFULLY STOPPED THIS
22 APPLICATION AND SAID THAT'S FUNNY THERE'S NO
23 DIPLOMA OR ANYTHING ATTACHED TO IT BUT YOU GOT A
24 LICENSE FROM NMTCB. THAT'S ODD. MANY, MANY
25 MONTHS OF OUR DENYING THE PERSON, THEIR APPEALING

1 IT, LAWYERS DOING THIS AND DISCOVERY AND ALL THE
2 REST OF IT AND THANKFULLY AT THE END OF IT THE
3 LADY JUST WITHDREW HER APPLICATION. WE WOULD
4 STILL BE INVOLVED IN THE HEARING PROCESS.

5 DR. SCHENKMAN: DID SHE EVER GO TO NUCLEAR
6 MEDICINE?

7 MR. FUTCH: WE TRIED AS A POSSIBLE SOLUTION
8 WHEN THE ATTORNEYS WERE DOING THIS. WE TRIED AS A
9 POSSIBLE SOLUTION SAYING, YOU KNOW, WE'VE GOT
10 ACTUAL APPROVED PROGRAMS THAT ACTUALLY -- AND WE
11 ACTUALLY CALLED THE PROGRAMS AND SAID HEY, HERE'S
12 THE PERSON, HERE'S THE SITUATION, WOULD YOU COME
13 DO THE ADVANCE PLACEMENT THING THAT HAPPENS WHEN
14 SOMEBODY HAS GONE THROUGH THE PROGRAMS AND FAILED
15 THE EXAM AND THEY HAVE TO GET THEIR EXPERIENCE
16 VERIFIED. WHATEVER THEY CAN ACTUALLY DO, THEY
17 HAVE TO TAKE THE COURSE WORK AND GET A DIPLOMA
18 FROM THE PROGRAM. IT WOULDN'T WORK OUT BECAUSE
19 SHE WAS SITUATED IN A PART OF THE STATE WHICH IS
20 PRETTY FAR FROM ONE OF THE PROGRAMS. AND I THINK
21 THAT IS PART OF WHY SHE DECIDED NOT TO DO IT,
22 BECAUSE SHE JUST COULDN'T MAKE IT WORK.

23 ALL RIGHT. THAT IS THE BACKGROUND. THAT IS
24 THE HISTORY. WE USED TO THINK THIS DEFINITION WAS
25 -- WE STILL THINK THIS DEFINITION IS GOOD. BUT

1 WHAT WE WANT TO DO IS WE WANT TO REVISE IT IN SUCH
2 A WAY AS TO MAKE IT CLEAR THE ALTERNATIVE
3 ELIGIBILITY. ELIGIBILITY IS NOT AN APPROVED
4 EDUCATIONAL PROGRAM.

5 I DON'T HAVE THE LANGUAGE READY FOR YOU. IT'S
6 PROBABLY GOING TO BE SOMETHING, SOME MODIFICATION
7 OF PARAGRAPH ONE THERE AT THE TOP OF THE PAGE. I
8 JUST HAVEN'T COME UP WITH THE APPROPRIATE WORDING
9 YET. BUT THIS ALTERNATIVE ELIGIBILITY PATHWAY IS
10 IN PLACE FOR THIS NATIONAL REGISTRY FOR ANOTHER
11 FIVE YEARS. AT WHICH POINT IT IS GOING TO BE
12 ELIMINATED.

13 DR. JANOWITZ: ANY IDEA HOW MANY PEOPLE?

14 MR. FUTCH: I KNEW SOMEBODY WAS GOING TO ASK
15 THAT QUESTION. NONE, DR. JANOWITZ, NONE AT ALL.
16 ONLY BEEN AROUND FOR 30 PLUS YEARS OF
17 CERTIFICATION. I'M STICKING TO NONE BECAUSE I'M
18 SURE EVERY SINGLE APPLICATION PROCESSOR WHO EVER
19 LOOKED AT AN APPLICATION HAS ALWAYS MADE SURE
20 THERE IS A DIPLOMA THERE.

21 MS. GRANT: I'M SURE, TOO.

22 MR. FUTCH: EXACTLY. I'M ON RECORDING RIGHT
23 NOW, SO, YOU KNOW, WHAT ELSE AM I GOING TO SAY.

24 MS. GILLEY: YOU HAVE A HARD TIME MAKING
25 PROFOUND STATEMENTS ON A RECORDING.

1 DR. JANOWITZ: HOW MANY PEOPLE HAVE GOT THAT
2 CERTIFICATION FROM THE BOARD?

3 DR. SCHENKMAN: IN ALTERNATIVE?

4 MR. FUTCH: NMTCB? THAT'S A GOOD QUESTION.
5 SOMEONE WANTS TO ASK NMTCB THAT DIRECTLY.

6 MS. GRANT: THEY DON'T WANT TO COMMUNICATE
7 WITH ME NOW, SO.

8 DR. JANOWITZ: CAROL.

9 MS. BONANNO: I'M TRYING TO FLY OUT TO SALT LAKE
10 CITY, SO, TO PUT IT NICELY.

11 MR. FUTCH: I THINK THOUGH EVERYBODY THAT HAS
12 BECOME AWARE OF THIS HAS BEEN SHOCKED I THINK
13 THAT, THAT IS ACTUALLY THE WAY IT WORKS.

14 DR. JANOWITZ: IS THE CARE ACT THAT PASSES,
15 WILL THAT REQUIRE GRADUATION FROM SCHOOL?

16 MS. BONANNO: REQUIRE CERTIFICATION.

17 MR. FUTCH: I DON'T KNOW WHAT CAVEATS WILL BE
18 PUT INTO THE NATIONAL REGISTRIES.

19 MS. BONANNO: THAT WAS THE RULES PROBABLY.

20 MR. FUTCH: THAT WAS RULES WE WORKED OUT. WE
21 ACTUALLY HAD A CONVERSATION ABOUT -- WE TRIED
22 DURING DISCOVERY TO FOCUS IN AND FIND OUT MORE
23 ABOUT WHAT, YOU KNOW, NUCLEAR MEDICINE MEANT.
24 WHAT THEY REQUIRED. WHAT THEY THOUGHT IT MEANT,
25 EXPERIENCE IN NUCLEAR MEDICINE.

1 AND THE RESPONSE THAT I GOT WAS, YOU KNOW, WE
2 MADE A COMMENT THAT SEEMS RATHER VAGUE THE WAY IT
3 IS RIGHT NOW. WHERE IS THE DEFINITION? AND THEY
4 SAID THEY CAN'T DEFINE IT ANYMORE THAN THE WORDS
5 NUCLEAR MEDICINE BECAUSE THERE IS SUCH A VARIETY
6 OF FOLKS WHO COME TO THEM WITH DIFFERENT
7 EDUCATIONAL BACKGROUNDS.

8 SO THAT APPEARS TO BE, AND AGAIN I SAY APPEARS
9 TO BE, THIS IS MY OWN INTERPRETATION, NOT WHAT
10 NMTCB SAID, BUT IT APPEARS THAT THEY WANT IT THAT
11 WAY. THEY WANT IT TO BE VAGUE SO THAT SOMEBODY,
12 FOR EXAMPLE, WHO COMES FROM, YOU KNOW, PAKISTAN
13 HAS BEEN TRAINED IN NUCLEAR MEDICINE WOULD FIT
14 UNDERNEATH THE SAME DEFINITION AS SOMEBODY LIKE
15 THIS PARTICULAR PERSON HERE IN FLORIDA.

16 AND WE POINTED OUT TO THEM, YOU KNOW, TO GAIN
17 THE EXPERIENCE IN THIS WAY, BESIDES THE WHOLE
18 EDUCATIONAL PATHWAY AND THE REST, TO ACTUALLY NOT
19 -- WELL, TO GAIN THE EDUCATIONAL EXPERIENCE IN
20 THIS WAY IN MANY STATES VIOLATES THE STATE LAW.
21 IT'S NOT JUST THE INJECTION OF THE
22 RADIOPHARMACEUTICAL PRACTICE MAKES THEM NUCLEAR
23 MEDICINE.

24 MS. BONANNO: I DON'T BELIEVE ONE MINUTE SHE
25 DID THE INJECTION, NOT FOR ONE MINUTE.

1 MR. FUTCH: OKAY. SO THAT'S ALL I HAVE FOR
2 THAT ONE. ANY QUESTIONS. WE'LL HAVE SOME RULE
3 WRITING COMMENCING ON THAT ONE.

4 MR. TINEO: I SEE THEY HAVE APPROVED EDUCATION
5 ON TRAINING PROGRAMS AND STARTING TO DEFINE THAT.

6 MR. FUTCH: WHERE ARE YOU?

7 MR. TINEO: THE FIRST PARAGRAPH. IS THAT
8 PERSON PROBABLY APPROVED BY A TRAINING PROGRAM.
9 SO WE PROBABLY NEED TO DEFINE MORE WHAT IS A
10 TRAINING PROGRAM.

11 MR. FUTCH: THE REASON THE PHRASE IS IN THERE
12 IS BECAUSE THE STATUTE REFERS TO EDUCATIONAL OR
13 TRAINING. IT REALLY DOESN'T DISTINGUISH BETWEEN
14 THEM. SO WE GATHER THEM TOGETHER IN ONE
15 DEFINITION SO THAT IT DOESN'T MATTER TO US WHAT
16 YOU CALL EDUCATIONAL TRAINING PROGRAM. BOTH OF
17 THEM HAVE TO BE THERE TO MEET THIS REQUIREMENT.
18 THAT IS WHY THE PHRASE IS LIKE THAT AND COMBINED.

19 MR. TINEO: MY POINT IS, IT'S ACCEPTED THAT
20 TRAINING PROGRAM THAT SHE WENT TO IS ACCEPTED BY
21 NMTCB.

22 MR. FUTCH: YES. ACTUALLY THAT WAS HER
23 ARGUMENT. THE DEFINITION
24 UNDER HER INTERPRETATION ALTERNATIVE ELIGIBILITY
25 MET THIS DEFINITION. OUR STANDPOINT LEGALLY WAS,

1 IT SAYS A PROGRAM WHICH IS RECOGNIZED. AND IF YOU
2 GO ON NMTCB'S WEBSITE, ACTUALLY, THEY HAVE TWO
3 PATHWAYS. ONE OF WHICH THEY CALL A PROGRAM
4 PATHWAY. IT SAYS YOU MUST GRADUATE FROM A PROGRAM
5 THAT IS APPROVED BY THE JRCNMT. HERE'S A LIST OF
6 THE PROGRAMS, ACTUAL SCHOOLS. AND IT USES THAT
7 **FLUOROSCOPY** ON THE PROGRAM PATHWAY. ON THE OTHER
8 PATHWAY, IT NEVER USES THE PHRASE PROGRAM. IT
9 ONLY SAYS ALTERNATIVE ELIGIBILITY. IT NEVER CALLS
10 A PROGRAM. IT NEVER CALLS IT ANYTHING ELSE. IT
11 JUST SAYS ALTERNATIVE ELIGIBILITY PATHWAY. SO,
12 THAT WAS OUR LEGAL STANDPOINT. I REALLY DON'T
13 WANT TO DISCUSS THAT ON TAPE. YOU CAN ASK ME
14 LATER.

15 DR. SCHENKMAN: SO WHY CAN'T YOU JUST PUT IN
16 PARENTHESIS THESE PUT IN AFTER PROGRAM IN
17 PARENTHESIS THAT THE ALTERNATIVE --

18 MR. FUTCH: NO, IT --

19 DR. SCHENKMAN: -- IS NOT A PROGRAM.

20 MR. FUTCH: THAT IS ONE POSSIBILITY. THAT IS
21 THE LEADING CANDIDATE.

22 DR. SCHENKMAN: ALTERNATIVE PATHWAY IS NOT A
23 PROGRAM.

24 MR. FUTCH: EXACTLY. OKAY. ENOUGH ABOUT
25 THAT. RAD TECH FEES. E-4 IS SOMETHING YOU'VE

1 ALSO SEEN BEFORE. THE LAST TIME WE CAME TO YOU WE
2 HAD SOME RULE CHANGES TO 64E-3, WHICH IS THE RAD
3 TECH SECTION. IT WOULD DO SEVERAL THINGS. THE
4 MAIN THING IT WOULD DO IT WOULD INCREASE THE RAD
5 TECH FEES FOR APPLICATION, FOR RENEWAL, TO COVER
6 DEFICIT IN THE RAD TECH PROGRAM.

7 AND THE LAST TIME IT ALSO HAD SOME OTHER
8 LANGUAGE SPECIFYING TWO OTHER ASPECTS, SOMETHING
9 TO DO WITH HIV/AIDS AND HIV/AIDS REQUIREMENT AND I
10 FORGET THE OTHER ONE.

11 LONG STORY SHORT, YOU FOLKS AFFIRMATIVELY
12 APPROVED THIS THE LAST MEETING WHERE IT WAS
13 DISCUSSED. IT WENT THROUGH THE ENTIRE RULING
14 PROCESS, WHICH IS SOMEWHAT LONG AND INVOLVED. AND
15 TO MAKE A LONG STORY SHORT, WE HAD NO OPPOSITION
16 ANYWHERE TO ANY PART OF IT. WE HAD GOTTEN
17 PRELIMINARY APPROVAL UP THROUGH THE CHAIN OF
18 COMMAND.

19 WHEN WE WENT TO GET THE FINAL APPROVALS TO
20 ACTUALLY SUBMIT THE RULE TO THE DEPARTMENT OF
21 STATE FOR POSTING AS A COMPLETED RULE, WE HAD TO
22 TAKE OUT A FEE REQUIREMENT. PHILOSOPHIES HAVE
23 CHANGED. SO, IT'S BACK. BECAUSE UNFORTUNATELY A
24 PHYSICAL DEFICIT STILL EXISTS AND MUST BE COVERED.

25 SO THIS WILL BE SUBMITTED AGAIN SOME TIME

1 BETWEEN THIS MEETING AND THE NEXT ONE. THERE IS
2 SOME OTHER FACTORS AT PLAY. CERTAIN THINGS HAVE
3 TO BE IN NOVEMBER. SO, BUT THE EXACT TIMING OF IT
4 I'M NOT SURE ON. BUT IT WILL PROBABLY BE
5 SUBMITTED SOME TIME IN THE FALL, WINTER. AND THEN
6 WE'LL GO THROUGH THE RULE PROCESS AGAIN EARLY PART
7 OF NEXT YEAR. ANY THOUGHTS, COMMENTS, QUESTIONS?

8 IT'S BASICALLY TAKING THE FEES TO THE FEE CAPS
9 FOR THIS PARTICULAR PROGRAM IN ALL AREAS I THINK
10 EXCEPT FOR MAYBE THE LATE FEE. REALLY THE MOST
11 IMPORTANT PART OF THIS IS HALF WAY DOWN THE PAGE
12 YOU SEE PARENTHESIS FOUR AND PARENTHESIS FIVE
13 SCRATCHED OUT. THE RENEWAL FEE FOR TECHNOLOGISTS
14 WOULD GO FROM \$55 TO \$75. THIS WAS LAST CHANGED
15 IN THE LATE 90'S. AND IT HASN'T BEEN CHANGED
16 SINCE. THAT IS THE MOST IMPORTANT FEE BECAUSE
17 THAT HAS THE STRONGEST MULTIPLICATIVE FACTOR.
18 THERE ARE APPROXIMATELY 11,000 OR SO TECHNOLOGISTS
19 THAT WE RENEW EVERY YEAR. SO WE CAN MULTIPLY BY
20 11,000. ALL THE REST OF THEM ARE FAR SMALLER
21 NUMBERS. AND THAT'S IT.

22 DR. SCHENKMAN: SO THESE ARE THE TOP OF THE
23 FEE CAP?

24 MR. FUTCH: EXACTLY. THE FEE CAP IN STATUTE
25 FOUR, RENEWAL FOR RAD TECH LICENSE IS \$75. AND I

1 SHOULD MENTION THIS, EVEN TAKING THESE TO THE CAPS
2 DOES NOT COMPLETELY COVER THE DEFICIT. IT JUST
3 MAKES THINGS A LITTLE BIT BETTER.

4 DR. SCHENKMAN: SO WHAT HAPPENS YOU GET THIS
5 PASSED AND YOU NEED TO INCREASE THEM AGAIN?

6 MR. FUTCH: I GUESS SEE THE X-RAY PROGRAM. I DON'T
7 HONESTLY KNOW.

8 DR. SCHENKMAN: IT HAS TO GO THROUGH STATUTORY
9 CHANGE?

10 MS. GRANT: STATUTORY REVISION, EXACTLY.

11 MR. FUTCH: THEY SET THESE FEE CAPS IN '84
12 APPROXIMATELY I THINK THE FEE CAPS WERE SET. WHAT
13 CAN ONE SAY? EVERYTHING COSTS MORE EVENTUALLY.
14 EVEN IN THIS RECESSION, THERE IS STILL LIKE 1.5
15 PERCENT COST OF LIVING, CONSUMER PRODUCTS.

16 DR. SCHENKMAN: IS IT WORTH STARTING NOW? I
17 MEAN, ASIDE FROM THIS, IS IT WORTH STARTING NOW TO
18 TRY TO GET THE STATUTE CHANGED BECAUSE THAT IS
19 GOING TO TAKE YEARS, TOO.

20 MR. FUTCH: YES, I AGREE. I AGREE COMPLETELY.
21 YOU SPEAK WORDS OF WISDOM. WOULD YOU LIKE TO RUN
22 FOR THIS. WE CAN USE A FRIEND. IT IS -- WHAT
23 THEY WILL SAY FIRST THOUGH IS ARE YOU AT THE CAPS
24 NOW. YOU HAVE TO BE AT THE CAPS BEFORE YOU CAN
25 ENTERTAIN ANY THOUGHT IN THE RECENT LEGISLATURE.

1 I ASSUME YOU'RE ALL STILL IN FAVOR OF THIS? DO WE
2 NEED TO TAKE A VOTE?

3 DR. JANOWITZ: NO.

4 MR. FUTCH: JUST GO TO THE PREVIOUS ONE.
5 THAT'S IT FOR MY PART.

6 DR. JANOWITZ: DO YOU WANT TO TAKE A FIVE
7 MINUTE BREAK OR KEEP GOING?

8 MR. FUTCH: KEEP GOING. PORTABLES.

9 MR. STEINER: AND I'LL STAND UP FOR THIS
10 BECAUSE IF I SIT DOWN, I START TALKING VERY LOW.
11 ON THE HANDOUT E-5 IS DRAFT RULES. AT THE LAST
12 MEETING I GAVE A SHORT PRESENTATION ON THE
13 RESTRICTED USE OF PORTABLE MACHINES. PORTABLE AND
14 MOBILE MACHINES. BASED ON IMAGE QUALITY AND DOSE
15 TO THE PATIENT AND SOME OTHER THINGS.

16 AND IT WAS SUGGESTED THAT WE COME UP WITH SOME
17 MODIFICATION TO THE RULE THAT WOULD MAKE IT JUST,
18 YOU KNOW, A LITTLE BIT CLEARER. IN MY PERSONAL
19 OPINION, WHICH DON'T COUNT FOR MUCH, THE RULE IS
20 CLEAR ENOUGH AS IT STANDS. BUT ONE OF THE MAIN
21 IDEAS THAT CAME FORWARD WAS THE IDEA THAT IT WOULD
22 BE A GOOD IDEA IF THE RULE SAYS SPECIFICALLY THAT
23 THE EXAM MUST BE ORDERED BY THE PHYSICIAN AS
24 PORTABLE OR MOBILE.

25 AND SO THAT IS THE FIRST PART -- ACTUALLY

1 THESE ARE TWO SEPARATE IDEAS. THE BACK PAGE IS
2 LIKE A SHORTER VERSION. HOW LITTLE CAN WE GET
3 AWAY WITH. THE FRONT PAGE IS A LONGER VERSION
4 THAT IS A LITTLE MORE DETAIL. SO THE FIRST IDEA
5 IS EXAMS SHALL BE PRESCRIBED AS MOBILE OR PORTABLE
6 BY PHYSICIAN BASED ON GUIDELINES OF MEDICAL
7 NECESSITY IN CONSIDERATION OF IMAGE QUALITY. THE
8 IMAGE QUALITY OF THE PORTABLE EXAM IS ADEQUATE.
9 THAT IS IN THE SERVER. JUST A LITTLE BIT LONGER.
10 IT'S THE SAME IDEA.

11 THE SECOND IDEA IS KIND OF WHAT I'M TRYING TO
12 GET TO WITH THE RULE AND THAT IS THAT ALL
13 AMBULATORY PATIENTS, INCLUDING NURSING HOME AND
14 ASSISTED CARE PATIENTS, THAT ARE CAPABLE OF BEING
15 SEEN AT A DOCTOR'S OFFICE SHALL NOT BE EXPOSED TO
16 RADIATION FROM A MOBILE OR PORTABLE MACHINE. THAT
17 WAS THE IDEA OF THE ORIGINAL RULE TALKS ABOUT
18 MOBILE PORTABLE EQUIPMENT SHALL BE USED ONLY FOR
19 EXAMINATIONS WHERE IT IS IMPRACTICAL TO TRANSFER.
20 I'M TRYING TO SAY AND VERY SPECIFICALLY THAT IF
21 THE PATIENT CAN GO TO THE DOCTOR'S OFFICE, THEY
22 CAN GO TO THE DIAGNOSTIC FACILITY. LET'S DON'T
23 BEAT AROUND THE BUSH. LET'S TELL IT LIKE IT IS.

24 NOW, THE LONGER VERSION ON THE FRONT PAGE ALSO
25 INCLUDES SOME IDEAS OR GUIDELINES. IT DOESN'T SAY

1 THESE GUIDELINES ONLY. BUT THEY SAY, YOU KNOW,
2 TAKE INTO CONSIDERATION THESE GUIDELINES. THERE
3 IS A USE FOR PORTABLE MACHINE WHEN PEOPLE ARE IN
4 TRACTION OR IF THEY'RE ON LIFE SUPPORT AND THEY
5 GET LOTS OF TUBES TIED TO THEM AND IT'S DETERMINED
6 TO BE BENEFICIAL. AND I EVEN THREW THE CAVEAT IN
7 THERE THAT THEY WOULDN'T REALLY USE THIS AS A
8 INITIAL DIAGNOSIS, BUT JUST TO TRACK WHAT'S
9 HAPPENING TO A PATIENT.

10 AND THEN, OF COURSE, WE HAVE OFF TRACK
11 APPLICATIONS IN THE FIELD SUCH AS A DISASTER. AND
12 WE HAVE APPLICATIONS FOR SURGICAL USE. THERE IS
13 LOTS OF SURGICAL PORTABLE USE. I DON'T THINK THAT
14 WOULD BE SMART TO TRY TO DRAG THEM DOWN TO THE
15 DEPARTMENT. SO WE PUT IN THE IDEA THAT THERE
16 COULD BE SURGICAL USE.

17 CORRECTIONAL FACILITIES WAS BROUGHT UP TO ME
18 JUST IN THE LAST FEW DAYS. AND I SAID TO MYSELF,
19 TO THE BEST OF MY KNOWLEDGE, THE PEOPLE THAT ARE
20 BRINGING PORTABLE MACHINES IN TO CORRECTIONAL
21 FACILITIES ARE SETTING THEM UP SO THAT THEY MEET
22 FIXED FACILITY REQUIREMENTS.

23 THAT IS IMPORTANT FOR TWO REASONS. ONE IS
24 BECAUSE WE REALLY DON'T WANT TO DO, YOU KNOW,
25 PORTABLE EXAMS ON THESE PEOPLE JUST BECAUSE

1 THEY'RE INCARCERATED, BUT WANT A HIGH QUALITY
2 EXAM. AND TWO, THE PEOPLE IN PRISON ARE GOING TO
3 DEMAND A HIGH QUALITY EXAM. THEY'RE NOT GOING TO
4 SIT AROUND AND SAY I GOT THIS CRAPPY EXAM. I WANT
5 TO GO GET A GOOD ONE.

6 SO THE SOLUTION TO THAT, YOU KNOW, BY ME WOULD
7 BE THAT AT A CORRECTIONAL FACILITY THE MACHINE IS
8 INSTALLED EACH TIME. IF THEY TAKE THEM IN AND
9 OUT. THERE'S LOTS OF THEM THAT TAKE THEM IN AND
10 OUT. SOME OF THEM JUST LEAVE THEM. BUT THEY ARE
11 INSTALLED EACH TIME BY A CERTIFIED RADIOGRAPHER,
12 GENERAL RADIOGRAPHER IN THE CONDITION THAT MEETS
13 FIXED FACILITY REQUIREMENTS.

14 AND THEN THERE ARE SOME HOMECARE OR HOME BOUND
15 PATIENTS THAT ARE CONFINED TO BED OR BECAUSE OF
16 THEIR PHYSICAL CONDITION REALLY CAN'T BE
17 TRANSPORTED. SO WE'RE LEAVING THE DOOR OPEN FOR
18 IF A DOCTOR DECIDES THAT SOMEBODY IN A NURSING
19 HOME NEEDS A PORTABLE EXAM, IT CAN BE ALLOWED.

20 SO, WHAT I HAVE HERE REALLY IS BEFORE THIS
21 COMMITTEE IS THE IDEA, DO YOU LIKE OR ARE YOU MORE
22 SUPPORTIVE OF A SHORTER VERSION LIKE ON THE LAST
23 PAGE OR ARE YOU MORE IN FAVOR OF A LONGER, MORE
24 DESCRIPTIVE VERSION OF A, YOU KNOW, NEW RULE.

25 AND THEN MAYBE THE LAST THING I WOULD LIKE TO

1 SAY ABOUT THIS IS THERE'S KIND OF A CATCH 22. YOU
2 CAN PUT SOME OF THESE IDEAS OF THE LONGER VERSION
3 INTO LIKE AN INFORMATION NOTICE. WOULDN'T
4 NECESSARILY BE REGULATORY. BUT THEN ON THE OTHER
5 HAND, WE GET SLAPPED PRETTY HARD FOR HAVING
6 INFORMATION NOTICES THAT DON'T HAVE REGULATORY
7 AUTHORITY, YOU KNOW.

8 AND SO YOU'RE BETTER OFF, WE'RE BETTER OFF AS
9 THE DEPARTMENT OF HEALTH TO HAVE WHAT WE WANT IN
10 RULE, YOU KNOW, AS MUCH AS POSSIBLE.

11 DR. SCHENKMAN: IT SEEMS TO ME THAT A AND E
12 ARE CONTRADICTORY. BECAUSE IN ONE YOU'RE SAYING
13 IMAGE QUALITY IS ADEQUATE NOT FOR DIAGNOSIS. BUT
14 AT THE SAME TIME YOU'RE SAYING FOR HOME HEALTH
15 CARE, HOME BOUND PATIENTS IT IS. IT SEEMS VERY
16 CONTRADICTORY TO ME.

17 MR. STEINER: WELL, MY ANSWER TO THAT IS THAT,
18 THE HOME HEALTH CARE, NURSING HOME PATIENTS ARE
19 GOING TO -- THEY'RE GOING TO BE DOING A TREATMENT.
20 LET'S SAY THEY GOT PNEUMONIA OR SOMETHING LIKE
21 THAT. SO THEY'RE CHECKING ON THEIR PROGRESS.

22 DR. SCHENKMAN: THEY DO IT INITIALLY.

23 MR. STEINER: AS AN INITIAL TEST.

24 DR. SCHENKMAN: HOW DO YOU KNOW IT'S PNEUMONIA
25 WHEN YOU CAN'T DO A CHEST X-RAY. THEY CAN'T MOVE

1 AND YOU HAVE TO DO A PORTABLE AND THAT IS
2 DIAGNOSTIC.

3 DR. JANOWITZ: I AGREE WITH THAT.

4 DR. SCHENKMAN: A IS KIND OF.

5 MR. STEINER: OKAY. RATHER TAKE OUT THE
6 LANGUAGE ABOUT NOT FOR DIAGNOSTIC.

7 MS. DROTAR: TO ME JUST READING THAT IT'S LIKE
8 YOU'RE TELLING THE DOCTOR HOW THEY CAN PRACTICE
9 MEDICINE. AND THAT SORT OF -- I THINK THE ONE
10 THAT IS LESS, YOU KNOW, THE SECOND PAGE SEEMS TO
11 BE MORE APPLICABLE, YOU KNOW, FOR A WIDE RANGE.

12 BUT, YOU KNOW, WHAT I'VE SEEN WITH PORTABLES
13 AND MOBILE COMPANIES IS THAT WE'RE NOT THE OLD
14 FILM SCREEN SCHOOL ANYMORE AND THAT IT IS DIGITAL.
15 AND WITH THAT DIGITAL YOU ARE GETTING BETTER
16 QUALITY FILMS. AND THAT THE COMPANIES THAT ARE
17 OUT THERE DOING FILM PORTABLE IMAGING ARE, YOU
18 KNOW, THEY'VE GOT RADIOLOGISTS THAT ARE LOOKING AT
19 THAT. BUT QUALITY IMAGES ARE BEING PRODUCED MORE
20 FOR A WIDE RANGE OF AREAS.

21 I WAS IN THE HOSPITAL IN MAY. AND I HAD A
22 PORTABLE CHEST X-RAY. WHICH IS OKAY. I DIDN'T
23 REALLY WANT TO MOVE. BUT THERE IS A WIDE RANGE.
24 YOU START CONSTRICTING IT TOO MUCH, IT'S NOT GOING
25 TO BE WORTHWHILE FOR THE PATIENT EITHER.

1 MR. STEINER: I WAS IN THE HOSPITAL ABOUT A
2 YEAR AGO AND I HAD A COUPLE PORTABLES. BUT, I
3 MEAN, THE RULE IS REALLY NOT DESIGNED FOR
4 RESTRICTED USE OF PORTABLE, MOBILE EXAMS. THE
5 MACHINES EXIST. THEY HAVE A GOOD APPLICATION DONE
6 CORRECTLY. I DON'T THINK -- THE TROUBLE IS WHEN
7 THE DOCTOR, I DON'T WANT TO GET INTO THE PRACTICE
8 OF MEDICINE, I'M TRYING TO STAY AWAY FROM THAT.

9 HOWEVER, THE DOCTORS WHEN THEY IN A HOSPITAL
10 SAYS I WOULD LIKE TO DO A CHEST X-RAY ON YOU. I'M
11 GOING TO ORDER A PORTABLE. BECAUSE HE KNOWS THE
12 QUALITY OF EXAM THAT HE GETS IN THAT HOSPITAL WITH
13 HIS PORTABLE EXAMS ARE ACCEPTABLE FOR WHAT HE
14 REALLY NEEDS TO SEE.

15 ON THE OTHER HAND, WE HAVE PEOPLE THAT ARE
16 GOING TO DOCTOR OFFICES WHEN THEY COULD GO TO A
17 DIAGNOSTIC CLINIC. AND THE DOCTOR IS SAYING IN MY
18 IDEA IN THE PRACTICE OF MEDICINE, THIS IS A GOOD
19 IDEA FOR ME TO SHOOT PATIENTS WITH POOR GEOMETRY,
20 POOR CENTERING, NO COLUMNIZATION, LITTLE OR NO
21 COLUMNIZATION BECAUSE THEY ARE HAVING TROUBLE
22 CENTERING THE FILM, THEY USE STATIONARY GRID OR NO
23 GRID AT ALL BECAUSE THEY CAN'T AFFORD ONE. THERE
24 IS A SPECTRUM OUT THERE OF WHAT IS HAPPENING WITH
25 PORTABLES.

1 AND I'M NOT TRYING TO SAY THAT SOME OF THE
2 ADVANCEMENTS THAT HAVE BEEN MADE WITH DIGITAL
3 RADIOGRAPHY HAVE NOT IMPROVED IMAGE QUALITY. THEY
4 REALLY HAVE. BUT THEY DON'T MEET WHAT IS DIGITAL
5 IN A DEPARTMENT. YES?

6 MS. DROTAR: ONE OTHER QUESTION. DOES THE
7 C-ARM COME UNDER THIS OR IS THAT SOMETHING
8 DIFFERENT OR IS THIS JUST DIAGNOSTIC?

9 MR. STEINER: ACTUALLY, THIS RULE IS IN A
10 RADIOGRAPHIC SECTION. SO, THE C-ARM FLUOROSCOPE
11 WOULD BE OUTSIDE OF IT. HOWEVER, WE HAVE PEOPLE
12 THAT TAKE A C-ARM AND DO SPOT FILMS WHICH TURN
13 BACK TO RADIOGRAPHY.

14 SO, IF WE HAD SOMEBODY THAT BOUGHT A C-ARM AND
15 ARE TRYING TO DO PORTABLE, MOBILE RADIOGRAPHIC
16 WORK WITH THAT, I WOULD TRY TO AS A DEPARTMENT
17 ENFORCE.

18 MS. DROTAR: YOU SEE THAT WITH PAIN CLINICS?

19 MR. STEINER: YES.

20 MR. TINEO: THE ONLY PROBLEM I SEE WITH THIS
21 IS THAT -- I KNOW WHERE YOU'RE GOING WITH IT.
22 THIS IS THE OUTPATIENTS THAT ARE TRYING TO GET AT
23 LEAST A QUALITY RADIOGRAPH WHERE THEY SHOULD HAVE
24 A BETTER ONE. BUT I THINK WHEN WE START ASKING
25 PHYSICIANS, ESPECIALLY IN THE HOSPITAL, I HAVE A

1 HARD TIME ENOUGH ASKING THEM TO TRY AND ORDER,
2 NEVER MIND ASKING THEM TO WRITE PORTABLE X-RAY OR
3 PORTABLE EXAMINATION BECAUSE THEY NEED TO.

4 MR. STEINER: REALLY.

5 MR. TINEO: IT'S A BATTLE. I THINK
6 RESTRICTING TOO MUCH OF THE PHYSICIANS IN THE
7 HOSPITAL SETTING. I WOULD LIKE TO SEE THIS MORE,
8 THIS RULE, MORE AS A GUIDE OF WHAT WE'RE REALLY
9 TRYING, WHICH IS THE AMBULATORY PATIENT WHICH IS
10 IN THE DOCTOR'S OFFICE, WENT TO THE DOCTOR'S
11 OFFICE AND REALLY NEED A QUALITY RADIOGRAPH AT THE
12 FACILITY.

13 I THINK YOUR SECOND PARAGRAPH, TWO ON THE
14 SHORT VERSION. DO WE REALLY NEED TO HAVE ONE, IS
15 MY QUESTION. WE NEED TO HAVE -- BECAUSE I THINK
16 THE LAST TIME YOU TALKED ABOUT THE ISSUE WAS THAT
17 SOME OF THESE COMPANIES DON'T, YOU KNOW, ANYBODY
18 JUST TRYING TO GO TO NURSING HOME USING X-RAYS
19 THAT ARE OF POOR QUALITY ARE DOING A DISSERVICE TO
20 THE PATIENTS.

21 SO, MY THOUGHT IS THAT WE SHOULD FOCUS ON THAT
22 INSTEAD OF TRYING THE ENTIRE SCENARIO OF THE
23 POPULATION. BECAUSE LIKE KATHLEEN WAS SAYING, WE
24 HAVE PORTABLE MACHINES NOW IN HOSPITAL SETTINGS
25 MOVING IN WITH THE DIGITAL AND THE QUALITY OF

1 PORTABLE IS GETTING BETTER AND BETTER AS WE MOVE
2 INTO THIS NEW TECHNOLOGY.

3 SO, I DON'T WANT TO GET US TRAPPED INTO A
4 TECHNOLOGY AS A PORTABLE. AND THEN OH, WELL WE
5 DIDN'T HAVE THE ORDER THAT SAYS PORTABLE AND NOW
6 WE'RE IN VIOLATION BECAUSE IT'S PORTABLE AND THE
7 DIAGNOSTIC PORTABLE. SO THAT IS THE KIND OF STUFF
8 THAT I DON'T WANT TO GET INTO LATER ON WITH THAT
9 IN THE HOSPITAL.

10 MR. STEINER: TWO RESPONSES. ONE, IS I GUESS
11 I'M IGNORANT BECAUSE THESE ARE X-RAYS ... BUT I'VE NOT
12 TAKEN X-RAYS, ~~THAT~~ WHEN THEY WOULD AUTOMATICALLY ORDER
13 THE PORTABLE. THEY USED TO WHEN I TOOK THEM 20
14 YEARS AGO, 25 YEARS AGO. OR IN THE HOSPITAL THEY
15 WOULD ORDER PORTABLE IF THAT'S WHAT THEY WANTED.
16 ~~NOW~~ THE RADIOLOGISTS REQUIRED THAT THEY ORDERED
17 PORTABLES. I'M NOT EVEN SURE IT HAD TO DO WITH
18 THIS RULE. BUT THAT IS HOW THEY ~~DO THIS~~ DID IT.

19 AND THE SECOND WAS, I HAVE NO GREAT COMPASSION
20 TO HANG ONTO SOMETHING THAT SAYS MUST BE ORDERED
21 PORTABLE. I GOT THE IMPRESSION FROM MY LAST
22 MEETING THAT THAT WAS ONE OF THE IDEAS FROM THIS
23 COMMITTEE WAS I HAVE THE DOCTOR ORDER PORTABLE AND
24 THEN SOME ~~OTHER~~ OF THE RESPONSIBILITY FOR THEIR USE OF
25 THE PORTABLE EQUIPMENT COME(S) BACK TO THEM.

1 MR. BURRESS: JUST FOR CLARITY. WOULD THIS
2 JUST APPLY TO MEDICAL MACHINES OR WOULD THIS ALSO
3 APPLY TO HAND-HELD UNITS, MEASUREMENT MACHINES?

4 MR. STEINER: THIS IS IN A SECTION THAT IS FOR
5 ALL RADIOGRAPHIC. SO, IT COULD APPLY TO, YOU
6 KNOW, ALL, YOU KNOW, THE EQUIPMENT, SO TO SPEAK.

7 NOW THE DENTALS HAVE THEIR OWN LITTLE SECTION
8 AND THEY PROHIBITED THE HAND-HELD. BUT WE MADE
9 THE RULE THAT ALLOWED THE USE OF THE HAND-HELD
10 UNDER THE TWO CONDITIONS. THEY HAVE MANUFACTURERS
11 TRAINING AND WEAR FILM BADGES. SO TO DOCUMENT THAT
12 THEY ARE NOT BEING CARELESS WITH THE EQUIPMENT.

13 SO THAT KIND OF COVERED US. I DON'T THINK IT
14 IS REALLY CONSIDERED MOBILE (WHAT) IS CONSIDERED
15 HAND-HELD. THEY'RE IN THE DENTAL FACILITY, RIGHT.
16 OR THEY'RE OUT IN THE FIELD DOING WHATEVER. I
17 DON'T SEE IT IMPACTING THE DENTAL SIGNIFICANTLY.

18 MR. BURRESS: SO THIS WOULDN'T PROHIBIT
19 SOMEBODY WHO CHOSE TO USE THE NOMAD UNITS RATHER
20 THAN THE MACHINE?

21 MR. STEINER: NO.

22 MR. FUTCH: THIS IS THE ISSUE -- THE INSURANCE FOLKS WHERE
23 (INAUDIBLE) (CONTESTING THE USE OF MOBILES) AND KEEP THE REIMBURSEMENT.

24 MR. STEINER: YES.

25 MR. FUTCH: HAVE YOU HAD ANY DISCUSSIONS WITH

1 THE PORTABLE MANUFACTURERS, MOBILE MANUFACTURERS?

2 MR. STEINER: I DO HAVE ANOTHER DEPOSITION ON
3 THURSDAY.

4 MR. FUTCH: THE BUREAU HAS AN INTEREST IN
5 CHANGING THE CURRENT LANGUAGE SO THAT WE ARE NO
6 LONGER PRACTICING INSURANCE LAW OR ARE USED AS THE
7 EXPERTS TO DISPUTE THAT. WELL --

8 DR. SCHENKMAN: MY EXPERIENCE WAS ALWAYS THAT
9 THE DOCTORS WANTED PORTABLE CHEST X-RAY IN THE
10 HOSPITAL, THEY ORDERED PORTABLE CHEST X-RAY.

11 DR. JANOWITZ: YES, THEY JUST WRITE PCX. ARE
12 THEY GOING TO HAVE TO WRITE REQUIRED MEDICAL
13 NECESSARY?

14 MR. STEINER: NO. ORDERS. I DON'T NEED THE
15 EXPLANATION OF THE MEDICAL NECESSARY.

16 DR. JANOWITZ: MY GUT FEELING ABOUT THIS IS,
17 IT SHOULD ACCEPT PORTABLE STUDIES DONE IN THE
18 HOSPITAL, ACUTE CARE HOSPITALS. BECAUSE THOSE ARE
19 ALREADY COVERED BY THE CURRENT COMMISSION, AND
20 THEY'RE BEING READ BY THE RADIOLOGIST AND IS LESS
21 LIKELY FOR ABUSE, POOR QUALITY, THAN BEING DONE IN
22 THE NURSING HOME OR SOMEPLACE ELSE JUST RUNNING
23 THROUGH THERE, SO.

24 I WOULD TEND TO ADD THAT INTO IT. I THINK
25 THIS REQUIRES A LITTLE MORE THOUGHT TO HAVE MORE

1 ACCOMPLISHED. BECAUSE YOU ARE GOING TO GET WORSE
2 QUALITY FILM FROM A LOT OF FIXED FACILITIES AND
3 TURNING OUT TERRIBLE NON-DIAGNOSTIC STUDIES. DO
4 WE WANT TO DEAL WITH THAT AS WELL.

5 MR. FUTCH: IT SOUNDS TO ME -- THIS IS JAMES
6 FUTCH AGAIN. IT SOUNDS TO ME LIKE A LOT OF YOU
7 WERE MORE IN FAVOR OF THE SHORTER, LESS SPECIFIC
8 LANGUAGE. I'M SEEING SOME HEADS NODDING AROUND
9 THE ROOM. SO I THINK, DON, TAKE THIS BACK IN
10 GUIDANCE AND WORK ON IT A LITTLE MORE.

11 MR. STEINER: SURE.

12 MR. FUTCH: KATHY YOU HAD?

13 MS. DROTAR: ONE LAST THING. THE WHOLE REASON
14 THIS WAS STARTED WAS SO THAT THE DEPARTMENT OF
15 HEALTH WOULDN'T HAVE TO SAY THIS WAS INDEED A
16 PORTABLE, SOMETHING THAT NEEDED TO BE DONE. AND
17 BY REFERRING IT BACK TO THE PHYSICIAN AND SAYING
18 THAT IF THIS WAS IMPORTANT, THIS WAS A NECESSITY
19 OR BY WRITTEN DIRECTIVE, THAT WOULD TAKE EMPHASIS
20 OFF OF THE DEPARTMENT AND THAT ORDERING THE
21 PORTABLE IS A MEDICAL NECESSITY, YOU KNOW.

22 MR. FUTCH: ALL RIGHT.

23 MS. DROTAR: KEEP IT SIMPLE.

24 MR. STEINER: (WE WILL) THINK ABOUT THIS ONE. THANK
25 YOU.

1 DR. SCHENKMAN: I HAVE ONE OTHER QUESTION
2 ABOUT NUMBER ONE. WHO IS IT THAT CONSIDERS THE
3 IMAGE QUALITY ACCURATELY?

4 MR. STEINER: THE PHYSICIAN.

5 MR. TINEO: THE ONE ORDERING OR THE ONE
6 READING?

7 MS. GILLEY: WELL, THAT MAY BE THE SAME ONE.

8 MR. STEINER: THE ONE ORDERING IS --

9 MS. GILLEY: NOT ALWAYS.

10 MR. STEINER: IN THE ACUTE CARE I GUARANTEE
11 THAT IS THE ONE READING.

12 MR. TINEO: IS THAT WHEN THEY SAY NO BRING
13 THAT PATIENT DOWN, WE NEED A BETTER RADIOGRAPH.

14 BUT IN A NURSING HOME IT IS GOING TO BE
15 PROBABLY WHOEVER IS READING THOSE X-RAYS OR
16 WHOEVER ORDERED THE X-RAY THAT MAY BE THE ONE
17 READING IS THE SAME. MAY BE ONE IN THE SAME.

18 MR. STEINER: JUST THE ORDERING PHYSICIAN IS
19 THE MEDICAL QUALITY, YOU KNOW, BY THE RULE. IT'S
20 A MEDICAL DECISION. I'M NOT GOING TO TRANSFER
21 THIS PATIENT.

22 MR. FUTCH: I THINK IF WE GO FOR THE SHORTER,
23 LESS DESCRIPTIVE LANGUAGE, WE CAN AVOID THIS
24 PROBLEM. BECAUSE I KNOW OUR RULE-MAKING ATTORNEY
25 WHO DOES THIS, REALLY HATES WORDS LIKE ADEQUATE.

1 ANY FURTHER DISCUSSION?

2 DR. JANOWITZ: WE'RE GOING TO GO ON TO THE
3 NEXT ITEM, SCOPE OF PRACTICE.

4 MR. FUTCH: THERE IS A LOT OF MATERIALS. ALL
5 THE MATERIALS YOU SEE IN SECTION F, F-1 THROUGH
6 WHATEVER NUMBER 6, 7, 8. ALL THESE ARE BACKGROUND
7 MATERIALS FOR THIS QUESTION. THE FIRST TAB THAT
8 YOU SEE IN F-1, THIS IS AN EXAMPLE, THIS IS A
9 PROBLEM THAT HAS COME TO US, AND I HOPE TO GET
10 YOUR SUPPORT FOR A SOLUTION FOR THIS TODAY.

11 E-MAILS ARE COMING TO CERTAIN HOSPITALS FROM I
12 GUESS THEIR LEGAL COUNSEL ASKING THE QUESTION IS A
13 CRT-N REALLY A NUCLEAR MEDICINE TECHNOLOGIST AND
14 CAN THEY ADMINISTER NON-RADIOACTIVE
15 PHARMACEUTICALS.

16 I BELIEVE WE TOUCHED ON THIS TANGENTIALLY IN
17 THE PAST. AS PART OF A NUCLEAR MEDICINE
18 PROCEDURE, I DON'T NEED TO EXPLAIN IT TO THE
19 NUCLEAR MEDICINE FOLKS IN THE ROOM. IN ADDITION
20 TO ADMINISTERING A RADIOACTIVE PHARMACEUTICAL, YOU
21 ALSO OFTEN HAVE TO ADMINISTER ASSOCIATED DRUGS.
22 DOES SOMEBODY WANT TO GIVE ME AN EXAMPLE?

23 MS. BONANNO: ROZANAPEN (PH SP) ROSENSON (PH
24 SP).

25 MR. FUTCH: SO THESE ARE ASSOCIATED WITH RENAL

1 STUDIES OR MAYBE SOME CARDIAC STUDIES, VERY
2 SIMILAR THINGS. THESE ARE THINGS THAT NUCLEAR
3 MEDICINE TECHNOLOGISTS HAVE BEEN ADMINISTERING FOR
4 YEARS AND YEARS. THE PARAGRAPH THAT YOU SEE HERE
5 IT WAS EXCERPTED FROM AN E-MAIL THAT WE GOT. I
6 JUST WANT TO READ IT. IT SLAPPED US IN THE FACE
7 WHEN WE SAW IT. FLORIDA DOES NOT -- THIS IS NOT
8 MY OPINION. THIS IS THE WRITER'S OPINION.

9 FLORIDA DOES NOT ACTUALLY LICENSE A NUCLEAR
10 MEDICINE TECHNOLOGIST OR NMT BY THAT NAME. UNDER
11 PERTINENT PROVISIONS OF CHAPTER 468 F.S., AN NMT IS
12 LICENSED AS A CERTIFIED RADIOLOGIC TECHNOLOGIST
13 AND HAS SPECIALTY CERTIFICATION IN NUCLEAR
14 MEDICINE TECHNOLOGY. WITH THAT CERTIFICATION,
15 THEY ARE THEN DENOMINATED A CRT-N.

16 IN GENERAL, THE PRACTICE OF ALL CRT'S IS
17 LIMITED TO THE ADMINISTRATION OF RADIATION AND
18 RADIOPHARMACEUTICALS UNDER THE DIRECTION OF A
19 LICENSED PRACTITIONER OR DOCTOR. I DON'T SEE ANY
20 PROVISION IN EITHER CHAPTER 468 F.S. OR 64E-3 F.A.C., TO
21 SUGGEST THAT AN NMT CAN ADMINISTER GENERAL
22 PHARMACEUTICALS EVEN IF IT'S DONE AT A PHYSICIAN'S
23 REQUEST.

24 WELL, THAT LAST STATEMENT IS ACTUALLY TRUE.
25 THERE IS NO SPECIFIC MENTION OF A NUCLEAR MEDICINE

1 TECHNOLOGIST BEING SOMEONE THAT ADMINISTERS
2 REGULAR PHARMACEUTICALS. AND THAT'S BECAUSE
3 THAT'S NOT THE RADIOACTIVE PORTION OF WHAT THEY
4 DO, WHICH IS WHAT THE WHOLE CHAPTER IS SET UP FOR.
5 I WILL ABBREVIATE MY ANSWER TO THE FIRST HALF OF
6 THAT PARAGRAPH BY SAYING THERE IS A DISTINCTIONABLE
7 DIFFERENCE THAT WE CALL THEM CERTIFIED RADIOLOGIC
8 TECHNOLOGISTS DASH NUCLEAR MEDICINE IN FLORIDA.

9 THESE FOLKS COME TO US WITH THE EDUCATIONAL
10 PATHWAY PREREQUISITES WHICH ARE APPROVED BY THE
11 NATIONAL REGISTRIES ARRT, NMTCB, WHICH ARE THE
12 PROGRAM PATHWAYS AT LEAST, REQUIRE THEM TO ATTEND
13 ACCREDITED SCHOOLS. THE FOLKS WHO HAVE THOSE
14 LICENSES COME TO FLORIDA, ARE LICENSED AS CRT-N
15 UNDER FLORIDA LAW BASED ON THE ENDORSEMENT OF THAT
16 LICENSE.

17 SO THE FIRST NEXT TAB YOU'RE LOOKING AT HERE
18 --

19 DR. SCHENKMAN: JAMES, IF THAT IS THE CASE
20 ALREADY CERTIFIED RADIOLOGIC TECHNOLOGISTS, WHY DO
21 WE HAVE THIS ISSUE WITH THEM DOING CT?

22 MR. FUTCH: CERTIFIED RADIOLOGIST TECHNOLOGIST
23 UNDER FLORIDA LAW IS THE GLOBAL TERMINOLOGY GIVEN
24 TO ALL THREE BRANCHES OF RADIOLOGIC TECHNOLOGY.
25 WE SAY CERTIFIED RADIOLOGIC TECHNOLOGIST IN

1 FLORIDA LAW, YOU MEAN SOMEONE WHO IS CERTIFIED AS
2 A RADIOGRAPHER, SOMEONE WHO IS CERTIFIED AS A
3 NUCLEAR MEDICINE TECH OR SOMEONE WHO IS CERTIFIED
4 AS A RADIATION THERAPIST TECH. IN COMMON PARLAYS
5 OUT THERE IN THE WORLD, SOMEBODY SAYS RAD TECH,
6 THEY OFTEN MEAN RADIOGRAPHER. I DON'T KNOW WHY
7 THAT IS. MAYBE IT IS BECAUSE THEY MAKE UP THE
8 VAST MAJORITY. KATHY?

9 MS. DROTAR: ARRT, YOU'RE A REGISTERED
10 TECHNOLOGIST, ARRT. AND THEN GIVE THE DEFINITION
11 OF R FOR RADIOLOGY, N FOR NUCLEAR MEDICINE, T FOR
12 THERAPY, WHATEVER. AND I THINK WHAT WE DID HERE
13 WAS TO FOLLOW THAT SAME GUIDELINE THAT THAT'S WHAT
14 THE NATIONAL REGISTRY USED. BECAUSE IT'S THE
15 EQUIVALENT. THE STATE LICENSE IS SUPPOSED TO BE
16 EQUIVALENT OF THE ARRT CERTIFICATION.

17 MR. FUTCH: IT'S LIKE SAYING YOU'RE A NURSE;
18 YOU'RE A REGISTERED NURSE, YOU'RE A LICENSED
19 PRACTICAL NURSE, AND YOU'RE A CERTIFIED NURSING
20 ASSISTANT. YOU'RE A NURSE. THERE ARE DIFFERENT
21 KINDS OF NURSES. YOU'RE A RAD TECH. THERE'S
22 DIFFERENT KINDS OF RAD TECHS. AGAIN, THIS IS
23 UNDER FLORIDA LAW. AND I GUESS IT IS ALSO TRUE OF
24 ARRT.

25 SO THAT IS WHY YOU CAN'T GIVE SOMEBODY WHO MEETS

1 THE EDUCATIONAL PATHWAY FOR CRT-N THE ABILITY TO
2 DO FULL CT BECAUSE THEY HAVEN'T MET THE
3 EDUCATIONAL PATHWAY FOR RADIOGRAPHY OR FULL CT.
4 THEY HAVE BUT NOT -- CAN'T GET THE LICENSE TO DO
5 IT. BECAUSE ONLY CRT-R REQUIRES KNOWLEDGE BEYOND
6 JUST SEEKING THE FULL SCOPE OF X-RAY EDUCATIONAL
7 BACKGROUND.

8 THE REST OF THIS IS BASICALLY SUPPORTIVE
9 MATERIALS SO THAT WE CAN GET TO THE POINT WHERE I
10 CAN ASK YOU GUYS, MY BIG QUESTION WHICH IS, CAN A
11 NUCLEAR MEDICINE TECH USE RADIOPHARMACEUTICALS?

12 F-2 IS A COPY OF FLORIDA LAW. IT SAYS A LOT
13 OF WHAT I JUST SAID IN THE CONVERSATION WITH
14 RANDY. THE THINGS THAT HAVE BEEN HIGHLIGHTED
15 PERTAIN TO NUCLEAR MEDICINE TECHS. DEFINITION OF
16 RAD TECHS AT THE TOP OF THE PAGE. THAT STATUTORY
17 REFERENCE 468 LINE FIVE, 468.302 (3D) THROUGH (G) F.S.,
18 THAT REFERS TO ALL DIFFERENT KINDS OF
19 RADIOGRAPHERS, NUCLEAR MED TECHS AND THERAPY
20 TECHS.

21 SO UNDER FLORIDA LAW, YES, THEY MEET THE
22 DEFINITION OF THAT. THE MEAT AND POTATOES OF THIS
23 ONE STARTS ON LINE 21 AND RUNS THROUGH LINE 38.
24 THIS IS THE ONLY PART WHERE YOU'LL SEE ANYTHING
25 MENTIONED IN FLORIDA LAW ABOUT WHAT IT IS THAT A

1 NUCLEAR MEDICINE TECHNOLOGIST CAN DO.

2 IT SAYS CONDUCT -- THINGS YOU WOULD EXPECT
3 THAT PERTAIN DIRECTLY TO RADIATION. PART A TALKS
4 ABOUT ADMINISTERING INVIVO AND INVITRO
5 MEASUREMENTS -- EXCUSE ME -- ADMINISTERING
6 RADIOPHARMACEUTICALS AND ALSO CONDUCTING
7 MEASUREMENTS INVIVO AND INVITRO RADIOACTIVITY.

8 B IS THE WHOLE THING TALKS ABOUT PET CT. AND
9 THEN THE STUFF STARTING IN 2 A, B, C AND D, THAT
10 IS ALSO PART OF THE PROHIBITED STUFF THAT THEY
11 CAN'T DO.

12 BEING A CERTIFIED RADIOLOGIC TECHNOLOGIST,
13 THERE IS A DEFINITION STARTING AT THE BOTTOM OF
14 THE PAGE OF WHAT THE PRACTICE OF RADIOLOGIC
15 TECHNOLOGY ENTAILS. THIS IS A GLOBAL DEFINITION,
16 SO IT TALKS ABOUT POSITIONING AND TECHNIQUE. THIS
17 IS A DEFINITION THAT ACTUALLY FITS MORE WITH SOME
18 OF THE THINGS THAT ARE HAPPENING WITH THE
19 TERMINOLOGY FOR RADIOGRAPHY. I THINK THAT IS
20 WHERE IT CAME FROM. DEBBIE WROTE IT.

21 IT DOES MEAN OF COURSE THEY CAN DO POSITIONING
22 AND THINGS. ALL RIGHT. I'LL TELL YOU RIGHT NOW,
23 CRT-N IN FLORIDA ARE NUCLEAR MEDICINE
24 TECHNOLOGISTS. EVEN THE TERMINOLOGY OF THE STATUTE
25 I LIKE THIS LINE 21; A PERSON HOLDING A

1 CERTIFICATE AS A NUCLEAR MEDICINE TECHNOLOGIST.
2 BUT WE'RE NOT GOING TO CALL THEM NUCLEAR MEDICINE
3 TECHNOLOGISTS, RIGHT.

4 MS. BONANNO: CAME FROM A GROUP IN
5 TALLAHASSEE.

6 MR. FUTCH: YES. CRT-N SAYS THAT A PERSON
7 HOLDING A CERTIFICATE AS A NUCLEAR MEDICINE
8 TECHNOLOGIST MAY USE THAT TITLE. WELL, IT'S KIND
9 OF HARD TO ARGUE THAT NON-NUCLEAR MEDICINE
10 TECHNOLOGISTS ARE HOLDING A CERTIFICATE AS ONE.

11 SO LET'S FORGET ABOUT THE GOOFINESS OF THE
12 WHOLE ARE YOU OR ARE YOU NOT A NUCLEAR MEDICINE
13 TECHNOLOGIST BY DEFINITION. LET'S FOCUS ON WHAT
14 IT IS THAT A NUCLEAR MEDICINE TECHNOLOGIST DOES.
15 WHAT I'VE GIVEN YOU, I GUESS THE UNMARKED TAB,
16 SAYS SNMTS AT THE TOP OF THE PAGE.

17 MS. GRANT: F-3.

18 MR. FUTCH: I'M TABLESS. OKAY. YOU ALL ARE
19 EXPERTS.

20 THIS IS AN EXCERPT IN THE HIGHLIGHTED SOME
21 PIECES OF THE SITE OF NUCLEAR MEDICINE WHO DO
22 REFER TO WHAT IS THE SCOPE OF PRACTICE. AND
23 YOU'LL SEE RIGHT THERE ON PAGE ONE IN ADDITION TO
24 TALKING ABOUT SEALED AND UNSEALED RAD MATERIALS.
25 THE FIRST HIGHLIGHT. THIS PRACTICE ALSO INCLUDES

1 THE UTILIZATION OF PHARMACEUTICALS, BLAH, BLAH,
2 BLAH.

3 FURTHER DOWN. THE PRACTICE ENCOMPASSES
4 MULTI-DISCIPLINARY SKILLS. AND THEN THE HIGHLIGHT;
5 THE ADMINISTRATION OF PHARMACEUTICALS INCLUDING
6 ADJUNCT ORAL AND IV CONTRAST UNDER THE DIRECTION
7 OF AN AUTHORIZED USER. IN ORDER TO PERFORM THESE
8 TASKS, BOTTOM PARAGRAPH, THE NUCLEAR MEDICINE
9 TECHNOLOGIST MUST SUCCESSFULLY COMPLETE EDUCATION
10 IN ALL THESE AREAS. PHARMACOLOGY.

11 CHANGE THE PAGE. SCOPE OF PRACTICE,
12 DIAGNOSTIC PROCEDURES HIGHLIGHTED AND
13 ADMINISTRATION OF NON-RADIOPHARMACEUTICAL AGENTS
14 WHEN PART OF STANDARD PROCEDURES. TOP OF THE NEXT
15 PAGE. THERAPY. AND ADMINISTRATION OF
16 NONRADIOPHARMACEUTICAL AGENTS BY ORAL AND
17 INTRAVENOUS ROUTES.

18 TURN THE PAGE AGAIN, PAGE FIVE. THAT FIRST
19 DOCUMENT. NOW DOWN INTO THE NITTY GRITTY DETAILS
20 OF DIAGNOSTIC PROCEDURES. WHERE AGAIN REFERENCES,
21 NON GC ADMINISTRATION OF RADIOPHARMACEUTICAL BUT
22 ALSO PHARMACEUTICAL WITH THAT CONTRAST. AND ON
23 THE BACK PAGE, RADIONUCLIDE THERAPY GOES INTO MORE
24 DETAIL, PHARMACEUTICAL AGENTS, ET CETERA. THAT IS
25 THE NUCLEAR MEDICINE. TAB NUMBER FOUR, FROM THE

1 ARRT WEBSITE. THIS IS THEIR CURRICULUM GUIDE FOR
2 EDUCATIONAL PROGRAMS IN NUCLEAR MEDICINE
3 TECHNOLOGY. THEY ACTUALLY REFER BACK TO CITING
4 NUCLEAR MEDICINE, THAT'S HOW THEY GOT TO THE ARRT
5 WEBSITE. TURN THE PAGE, FOURTH EDITION, I DIDN'T
6 GIVE YOU THE WHOLE THING. IT IS QUITE EXTENSIVE.

7 THIS IS JUST THE PART THAT DEALS WITH NUCLEAR
8 PHARMACY AND PHARMACOLOGY. HIGHLIGHTED CONTRAST.
9 IN ADDITION, THE NON-RADIOACTIVE INTERVENTIONAL
10 DRUGS AND CONTRAST MEDIA THAT ARE USED AS PART OF
11 NUCLEAR PROCEDURES. FOR ALL ADMINISTERED
12 MATERIALS, IT ADDRESSES THE ROUTES OF
13 ADMINISTRATION, BIODESTRUCTION MECHANISMS,
14 INTERFERING AGENTS, CONTRAINDICATIONS, AND ADVERSE
15 EFFECTS. AND THEN SOME HIGHLIGHTED ASPECTS OF THE
16 OBJECTIVES. DESCRIBE CHARACTERISTICS OF
17 PHARMACEUTICALS, ANALYZE PATIENT INFORMATION TO
18 DETERMINE ADVERSE REACTION.

19 AGAIN THIS IS THE CURRICULUM GUIDE. THIS IS
20 THE PROGRAM THAT WOULD BE USED TO EDUCATE THE STUDENTS.
21 FLIP OVER TO THE NEXT HIGHLIGHTED SECTION FOR THE
22 DETAIL. FDA, US PHARMACOPEIA CONTROL OF
23 PHARMACEUTICALS. FLIP OVER SEVERAL MORE PAGES.
24 SORRY, I COULD HAVE LEFT THIS MIDDLE PART OUT.
25 YOU WILL SEE SECTION 14, PHARMACEUTICALS AND GOES

1 IN GREAT DETAILS. ADMINISTRATION BY NUCLEAR
2 MEDICINE, REGULATIONS, ETHICS, OTHER DRUGS,
3 PATHOLOGIC CONDITIONS.

4 AND AT THE BOTTOM OF THE PAGE IT ACTUALLY
5 STARTS A SPECIFIC LIST OF DRUGS; ADENOSINE,
6 INSULIN, CAPTOPRIL, WHICH IS ONE OF THE ONES THAT
7 WAS SPECIFICALLY ASKED ABOUT BY THIS HOSPITAL.

8 NEXT PAGE. EVEN LONGER LIST OF DRUGS. MIDDLE
9 OF THE PAGE, RIGHT THERE, 15, GOES TO THE CONTRAST
10 MEDIA, SO FORTH AND SO ON. SO THAT IS THE
11 EDUCATIONAL CURRICULAR GUIDE.

12 NOW MOVING OVER TO EXAMS, F TAB, F-5. THIS IS
13 ARRT'S CONTENT SPEC'S FOR THE NUCLEAR MEDICINE
14 EXAM, WHICH WE USE AS OUR STATE EXAM, ALSO.
15 MIDDLE OF THE PAGE. A LIST OF THE COMMONLY USED
16 PHARMACEUTICALS THAT MAY BE TESTED ON THE
17 EXAMINATION CAN BE FOUND IN ATTACHMENT A.

18 HOWEVER, OTHER PHARMACEUTICALS MAY ALSO APPEAR
19 AS THE PRACTICE CHANGES. BEFORE WE GET TO
20 APPENDIX A, JUST TURN THE PAGE. THIS IS SECTION
21 B, RADIONUCLIDES AND RADIOPHARMACEUTICALS, 22
22 QUESTIONS FROM THE EXAM ARE ON THIS AREA ARE IN
23 HIGHLIGHTS. CALCULATION OF RADIOPHARMACEUTICAL,
24 PHARMACEUTICAL DOSAGES, PHARMACEUTICAL
25 ADMINISTRATION, PREPARATION, ADMINISTRATION

1 TECHNIQUES, COMPLICATIONS.

2 SO TESTING FOR THE SAME STUFF FROM THE
3 CURRICULUM GUIDE EDITION. KEEP ON GOING WITH MORE
4 HIGHLIGHTS. SEE IF I GAVE YOU THE DRUG LIST.
5 YEAH, LAST TWO PAGES IN THAT TAB, F-5, GOES
6 THROUGH THE RADIOPHARM AND IT GOES THROUGH A
7 PRETTY SIMILAR LIST FOR PHARMACEUTICALS.

8 OKAY. THAT WAS ARRT. NEXT TAB F-6, NMTCB,
9 SECTION TAB 6, 7 AND F-8. THIS SITE IS ORGANIZED
10 A LITTLE MORE SCATTERED. BUT THE FIRST THING I
11 GAVE YOU F-6 IS THE 30,000 FOOT LEVEL VIEW OF THE
12 DIFFERENT SUBJECT MATTER THAT IS COVERED ON THE
13 EXAM. THESE ARE THE TASKS. OH, BY THE WAY, I
14 SHOULD BACK UP. ARRT AND NMTCB BOTH BASE THEIR
15 EXAM SUBJECT AREAS ON JOB INVENTORY ANALYSIS THEY
16 CONDUCT EVERY SO MANY YEARS OF THE POPULATION OUT
17 THERE IN EVERY DAY PRACTICE. THEY GO OUT AND DO
18 THE INTERVIEWS OF NUCLEAR MED TECHS WORKING. AND
19 THEY SAY HEY, DO YOU DO THIS, DO YOU DO THIS, WHAT
20 DO YOU DO. THEY BUILD THEIR EXAMS BASED UPON
21 THIS.

22 SO, ON THE BACK PAGE OF F-6, YOU CAN SEE
23 THERE'S A SECTION, PREPARE ADMINISTER
24 INTERVENTIONAL PHARMACOLOGIC AGENTS. THAT IS THE
25 30,000 FOOT VIEW. AND THEN F-7 IF YOU TURN OVER,

1 GIVES YOU SOME SPECIFIC TASKS. HIGHLIGHTED TASK
2 39. PREPARE AND PERFORM CARDIAC MONITORING AND
3 STRESS TESTING. HOW THEY ARE DONE. THEY HAVE
4 PARTS IN THERE THAT INVOLVE PHARMACOLOGY
5 ADMINISTRATION.

6 AND THE MAIN TASK 40 ON THE NEXT PAGE, PREPARE
7 AND ADMINISTER INTERVENTIONAL PHARMACOLOGIC
8 AGENTS. YOU SEE ALL THE DIFFERENT THINGS WE GO
9 INTO. LOOKS VERY SIMILAR TO WHAT WE'VE SEEN AT ALL
10 THE OTHER PLACES. IT COVERS ASSESS CHECKING FOR
11 ADVERSE REACTIONS, ET CETERA, ET CETERA, ET
12 CETERA.

13 AND THEN THEIR PHARMACEUTICAL LIST IS F-8,
14 NMTCB PHARMACEUTICAL LIST TAB F-8. IT'S ON THE
15 BACK PAGE. INTERVENTIONAL PHARMACEUTICALS,
16 ADENOSINE, CAPTOPRIL, MISCELLANEOUS
17 NON-RADIOACTIVE AGENTS. OKAY.

18 SO, IT APPEARS TO LITTLE OLD UNINFORMED ME,
19 THAT INDEED A NUCLEAR MEDICINE TECHNOLOGIST IS
20 BOTH EDUCATED, TESTED AND INSPECTED BY THE SCOPE
21 OF PRACTICE, AT LEAST ONE OF THE BIG NATIONAL
22 GROUPS TO BE USING, ADMINISTERING, UNDERSTANDING
23 OF NON-RADIOACTIVE PHARMACEUTICAL AGENTS AND
24 CONTRAST. DISCUSSION?

25 MS. DROTAR: I JUST WANTED TO ADD, TOO, JAMES

1 AND I WERE PART OF A COLLEGE CONFERENCE AND
2 COMMITTEE MEETING FOR CURRICULUM FOR NUCLEAR
3 MEDICINE TECHNOLOGISTS. AND WE WENT BACK THROUGH
4 THESE DOCUMENTS. AND THAT PORTION ABOUT
5 NON-RADIOACTIVE PHARMACEUTICALS WAS IN THE
6 CURRICULUM AND WAS EXPANDED FOR CURRENT PRACTICE.

7 SO, IT'S ALSO PROOF BY THE DEPARTMENT OF
8 HEALTH AND THAT IS THE PROOF CURRICULUM FOR
9 NUCLEAR MEDICINE TECHNOLOGISTS IN THE STATE OF
10 FLORIDA.

11 MR. FUTCH: KATHY IS TALKING ABOUT OUR ROLE AS
12 THE DEPARTMENT OF HEALTH TO ADVISE THE FLORIDA
13 DEPARTMENT OF EDUCATION ON MANY DIFFERENT THINGS
14 WITH REGARD TO THIS PROFESSION. ONE OF WHICH IS
15 WHAT WE CONSIDER TO BE AN ACCEPTABLE CURRICULUM.
16 AND ACTUALLY I JUST KIND OF KEEP QUIET AND THE
17 PROGRAM DIRECTORS DO ALL THE TALKING. AND SAY OH,
18 YES, WE TEACH THAT. THE DEPARTMENT OF EDUCATION
19 HAVE TO UPDATE THEIR STANDARDS EVERY THREE YEARS,
20 FOUR YEARS, SOMETHING LIKE THAT.

21 DR. JANOWITZ: I DON'T THINK THERE IS ANY
22 QUESTION THAT THE NUCLEAR MEDICINE TECHNOLOGISTS
23 ARE TRAINED AND QUALIFIED TO ADMINISTER THIS. THE
24 ONLY ISSUE REALLY IS THE LANGUAGE IN THE STATUTE.

25 MR. FUTCH: WELL, WE HAVE THE OPINION FROM OUR

1 ATTORNEY ON THAT. THE ATTORNEY IN THE E-MAIL -- I
2 EXCERPTED THE PART OF THE E-MAIL. THE OTHER PARTS
3 OF THE E-MAIL MAKES A LARGE PART OF HIS ARGUMENT
4 STATING, IF YOU GO BACK TO F-2, WHICH IS OUR
5 NUCLEAR MEDICINE STATUTE, LINE 21. THE VERY END
6 OF THAT LINE WHERE IT SAYS A PERSON HOLDING A
7 CERTIFICATE AS A NUCLEAR MEDICINE TECHNOLOGIST MAY
8 ONLY. HE MAKES A GREAT DEAL ABOUT THE FACT THAT
9 THE WORD ONLY IS IN THERE.

10 OUR POSITION AS THE DEPARTMENT, THIS LANGUAGE
11 OF LIMITATION IS ONLY APPLICABLE TO THE RADIATION
12 PORTION OF WHAT A NUCLEAR MEDICINE TECHNOLOGIST
13 DOES. BECAUSE THAT IS THE PURPOSE OF THIS
14 PARTICULAR STATUTE TO MAKE SURE THAT SOMEONE IS
15 APPROPRIATELY SKILLED IN RADIATION, ADMINISTRATION
16 OF PHARMACEUTICALS BECAUSE OF DESIRE TO PROTECT
17 THE PUBLIC FROM EXPOSURE TO RADIATION.

18 THERE ARE MANY OTHER THINGS NOT COVERED IN
19 THIS. FOR SOMEONE WHO WANTS TO USE THIS AS THE
20 SOLE TOTAL BEING OF WHAT A NUCLEAR MEDICINE
21 TECHNOLOGIST DOES, THEY'RE GOING TO HAVE A HARD
22 TIME BECAUSE THERE ARE OTHER THINGS THAT ARE
23 LISTED IN HERE, CAROL, THAT ARE ALSO NOT
24 RADIOACTIVE RELATED BUT WHICH A NUCLEAR MEDICINE
25 TECHNOLOGIST DOES EVERY DAY.

1 MS. BONANNO: THIS CAME TO MY ATTENTION,
2 THANKS TO JAMES GAVE SOMEBODY MY NAME. SHE ASKED
3 ME, SHE WORKS FOR HCA. SHE IS A RADIOLOGIST
4 ADMINISTRATOR. SHE GOT A LETTER FROM HCA, SAYING
5 NUCLEAR MEDICINE TECHNOLOGISTS WERE NOT TO
6 ADMINISTER NON-RADIOACTIVE PHARMACEUTICALS. IT IS
7 CAUSING MAJOR PROBLEMS FOR HER BECAUSE THEY DO
8 GALLBLADDER STUDIES AT NIGHT AND THEY NEED SOMEONE.
9 THE WAY THAT HCA HAS BEEN INTERPRETED IS THAT THEY
10 HAVE A NURSE COME TO THE NUCLEAR MEDICINE AT
11 MIDNIGHT AND INJECT BECAUSE THEY CAN'T ALWAYS FIND
12 NURSES.

13 THEY'RE USING DOSES OF CHLORTEC (PH SP) AND
14 LOSING STUDIES. THAT IS HOW IT CAME ABOUT. I DID
15 TAKE HER NUCLEAR MEDICINE SCOPE OF PRACTICE BUT I
16 THINK ALL THE OTHER THINGS, WHAT SHE REALLY THINKS
17 TO HELP HER, I DON'T KNOW FOR THERE TO BE EITHER A
18 STATEMENT FROM THIS BOARD THAT IS ACCEPTABLE TO
19 FOR NUCLEAR MEDICINE TECHNOLOGIST TO ADMINISTER
20 RADIOACTIVE PHARMACEUTICALS.

21 MR. FUTCH: YEAH, LET ME PICK UP WITH THAT.
22 TIM JUST WALKED IN THE ROOM.

23 MR. RICHARDSON: HOW ARE YOU? DON'T EVER GO TO
24 THE CLINIC BEFORE YOU COME HERE. BAD MISTAKE FOR
25 A FIVE MINUTE TRIP.

1 MR. FUTCH: WHAT I WOULD LIKE -- WE'RE NOT
2 SURE WHAT FORM OF RESPONSE WE'LL TAKE. IT MAY BE
3 A CHANGE TO THE RULE TO THE SCOPE OF PRACTICE FOR
4 RADIOLOGIC TECHNOLOGIST. WE MAY SPECIFY SOME
5 ADDITIONAL THINGS FOR, YOU KNOW, NUCLEAR MEDICINE
6 TECHNOLOGISTS IN THAT AREA BECAUSE WE'VE ALREADY
7 GOT THE DEFINITION OF PRACTICE OF RADIOLOGIC
8 TECHNOLOGIST OF THIS 30,000 FOOT LEVEL AND IT
9 COVERS ALL THREE. KIND OF SUBDIVIDE THAT A LITTLE
10 BIT AND SAY WELL, FOR NUCLEAR MEDICINE
11 TECHNOLOGISTS ALSO INCLUDE THE ADMINISTRATION OF
12 PHARMACEUTICALS AND OTHER NON-RADIOACTIVE AGENTS
13 ASSOCIATED WITH THAT NUCLEAR MEDICINE PROCEDURES.

14 DR. JANOWITZ: ALSO DOES IT SAY PREPARE
15 RADIOACTIVE PHARMACEUTICALS.

16 MR. TINEO: CAN WE DRAFT SOMETHING THAT SAYS
17 THAT IS ATTACHED TO THE SCOPE OF PRACTICE OF THE
18 INDIVIDUAL TECHNOLOGIST?

19 MR. FUTCH: SURE. I DON'T WANT TO SKIP BY THE
20 DETAILS AND GET BOGGED DOWN WITH THE MECHANICS OF
21 HOW WE DO IT LEGALLY TO MAKE SURE THAT THE WILL OF
22 THE COUNCIL IS DONE. I'M MAINLY INTERESTED IN
23 MAKING SURE THAT WE GET A VOTE FROM YOU GUYS THAT
24 SAYS YES A NUCLEAR MEDICINE TECHNOLOGIST SCOPE
25 DOES INCLUDE THE USE OF NON-RADIOACTIVE

1 PHARMACEUTICAL AGENTS. AND THEN WE CAN TAKE IT
2 FROM THERE. IT MAY BE A RULE CHANGE, IT MAY BE A
3 DECLARATORY STATEMENT WHERE A REGULATED ENTITY
4 ASKS US TO SAY OFFICIALLY WHAT WE FEEL AND WE PUT
5 THAT OUT.

6 MR. TINEO: I DON'T KNOW WHAT THE REGULATIONS
7 SAY ABOUT THE MEDICINE TECHNOLOGIST INJECTING THE
8 CONTRAST. YOU HAVE THE SAME ISSUE. THAT NCA WILL
9 SEND YOU A LETTER SAYING THAT THEY CANNOT INJECT
10 CONTRAST BECAUSE IT IS CONSIDERED MEDICATION.

11 MR. FUTCH: SOMEONE BROUGHT THAT UP. IS IT
12 YOU?

13 MR. TINEO: WHATEVER WE DO, WE NEED TO MAKE
14 SURE WE CAPTURE ALL OF THEM. BECAUSE THE WAY WE
15 FIX IT, AND THIS IS AN ISSUE THAT CAME UP BECAUSE
16 IT'S AN ISSUE MORE THAN ANYTHING ELSE. THE WAY WE
17 FIX IT WAS TO, WE TOOK ALL THE SCOPE OF PRACTICE
18 TO THE PHARMACEUTICAL. WE HAVE A MEETING CALLED
19 PHARMACEUTICAL THERAPEUTIC COMMITTEE. WE TOOK ALL
20 THE JOBS AND THEIR SCOPE OF PRACTICE AND WE LET
21 THEM APPROVE THEM AS PART OF THE SCOPE OF PRACTICE
22 THAT THEY CAN INJECT NON-PHARMACEUTICALS,
23 NON-RADIOACTIVE MATERIALS.

24 MR. FUTCH: YOU GUYS DIDN'T HAVE AN ATTORNEY
25 SOME PLACE SAYING -- DICTATING MEDICAL POLICIES.

1 MR. TINEO: IT WENT THROUGH THE ENTIRE LEGAL
2 COUNCIL AND EVERYBODY WAS FINE WITH THAT IT.

3 MS. GILLEY: I KIND OF THINK THAT THE 1990
4 SCOPE OF PRACTICE IS OUT OF DATE. MAYBE WE OUGHT
5 TO BE LOOKING AT THE SCOPE OF PRACTICE FOR EACH
6 INDIVIDUAL PROFESSION.

7 DR. JANOWITZ: WHAT IS THE BOARD OF MEDICINE
8 OR IS THERE A STATE LAW ABOUT WHO CAN ADMINISTER
9 PHARMACEUTICALS?

10 MR. FUTCH: NO. THE WAY THE PROFESSION TENDS
11 TO WORK IS EACH OF THEM HAS THEIR OWN LITTLE
12 SECTION OR STATE LAW. AND EITHER A BOARD IF
13 THERE'S A REGULATORY BOARD OR THE DEPARTMENT SEES
14 THE BOARD WITH THE ADVICE OF THE COUNCIL BASICALLY
15 GETS TO SAY WHAT IT IS WITHIN THE PURVIEW AND
16 SCOPE OF THAT STATUTE. OUR ATTORNEYS ASSURE US WE
17 HAVE PLENTY OF EXISTING LEGAL SUPPORT TO DO WHAT
18 WE PROPOSE TO DO HERE. YOU CAN GET INTO LOVELY
19 LITTLE DISPUTES BETWEEN PROFESSIONS, WHICH WE ARE
20 GOING TO NOT DO. AND I DON'T THINK THAT WOULD BE
21 THE CASE BECAUSE THAT'S NOT REALLY WHERE THIS IS
22 COMING FROM.

23 DR. JANOWITZ: DO WE HAVE A PROPOSED MOTION
24 THAT YOU WOULD LIKE TO SUBMIT?

25 MR. FUTCH: YEAH, I WOULD BASICALLY JUST LIKE

1 THE MOTION TO BE THE COUNCIL SAYS THAT INDEED THE
2 SCOPE OF PRACTICE OF NUCLEAR MEDICINE TECHNOLOGIST
3 INCLUDES THE USE OF NON-RADIOACTIVE
4 PHARMACEUTICALS AND AGENTS ASSOCIATED WITH THEIR
5 NUCLEAR MEDICINE PROCEDURES.

6 MS. GILLEY: COULD WE ADD ADMINISTRATION IN
7 THERE WITH USE?

8 MR. FUTCH: OKAY.

9 MS. BONANNO: I SECOND.

10 DR. JANOWITZ: ANY OTHER DISCUSSION ON THE
11 SUBJECT? ALL IN FAVOR?

12 BOARD MEMBERS: AYE.

13 DR. JANOWITZ: ANY OPPOSED?

14 (NO RESPONSE.)

15 MR. FUTCH: THIS IS JAMES, AGAIN. IF YOU LIKE
16 US ALSO TO TAKE A SECOND MOTION WITH REGARD TO USE
17 OF CONTRAST BY RADIOGRAPHERS ALL ON THE SAME LINES
18 OF WHAT WE SAID BEFORE DOES INCLUDE THE USE OF
19 CONTRAST, I'LL BE MORE THAN HAPPY TO TAKE A LOOK
20 AT THAT AND WORK THE SAME THING OUT IN THAT
21 REGARD.

22 DR. SCHENKMAN: CONTRAST ADMINISTRATION.

23 MS. DROTAR: SECOND.

24 MS. BONANNO: SECOND.

25 DR. JANOWITZ: ALL IN FAVOR?

1 BOARD MEMBERS: AYE.

2 MR. FUTCH: THEY DO SIMULATIONS, THAT'S RIGHT.

3 MS. GILLEY: I KNOW WE USED TO -- I DON'T KNOW
4 WHAT THEY DO NOW, FILL THE BLADDER UP.

5 MR. FUTCH: SHALL WE SAY THE SAME THING WITH
6 REGARD TO RADIATION THERAPIST USE OF CONTRAST AS
7 WE DID PREVIOUSLY. TAKE A VOTE ON THAT ONE, TOO?

8 MS. DROTAR: SECOND.

9 DR. ARMSTRONG: SECOND.

10 DR. JANOWITZ: ANY DISCUSSION? ALL IN FAVOR?

11 BOARD MEMBERS: AYE.

12 DR. JANOWITZ: I GUESS WE'RE READY FOR LUNCH.

13 (A RECESS WAS TAKEN FROM 11:45 A.M. TO 1:00 P.M.)

14 DR. JANOWITZ: LAST, SUPERVISION FOR MEDICAL
15 PHYSICISTS-IN-TRAINING.

16 MS. GRANT: THAT WOULD BE ME. RECENTLY I WAS
17 PAID A VISIT BY MS. GILLEY, TELLING ME THAT AT A
18 RECENT MEETING SHE HAD BEEN APPROACHED REGARDING
19 MEDICAL PHYSICISTS-IN-TRAINING.

20 MEDICAL PHYSICISTS-IN-TRAINING IN FLORIDA ARE
21 REQUIRED TO WORK UNDER DISTRICT SUPERVISION OF
22 A LICENSED PHYSICIAN. APPARENTLY, THERE IS A WAVE
23 OF PHYSICISTS-IN-TRAINING WHO ARE WORKING
24 INDEPENDENTLY WITHOUT SUPERVISION, WHICH IS A
25 DIRECT VIOLATION OF THE STATUTE AND THE RULES.

1 THE STATUTE SAYS DIRECT SUPERVISION. THE RULE
2 EXPLAINS WHAT DIRECT SUPERVISION IS.

3 SO, I MAILED OUT A LETTER TO ALL OF OUR
4 PHYSICISTS-IN-TRAINING ALONG WITH THEIR
5 SUPERVISORS. I GOT A FEW CALLS, NOT MANY. BUT
6 THE CALLS THAT I DID GET WERE FROM PEOPLE TRYING TO
7 FIGURE OUT A WAY AROUND THE LAW AND NOT HOW TO
8 COMPLY. BUT, WELL, IF SOMEBODY COMES IN TWICE A
9 WEEK, IS THAT GOOD ENOUGH? WELL, NO. EVERYTHING
10 THAT A PHYSICIST-IN-TRAINING DOES HAS TO BE DONE
11 UNDER DIRECT SUPERVISION. THAT PERSON IS
12 ULTIMATELY RESPONSIBLE FOR EVERYTHING THE
13 IN-TRAINING PERSON DOES.

14 SO, WE MAILED OUT A LITTLE LETTER TO EVERYBODY
15 AND WE PUT IT ON OUR WEB PAGE. SO IF YOU HEAR ANYTHING
16 ABOUT THIS, PLEASE EITHER SEND THEM TO MY
17 OFFICE. MY STAFF OR I WILL TALK TO THEM OR
18 SOMETHING BECAUSE THIS IS A VERY SERIOUS MATTER.

19 DR. WILLIAMS: IS THIS FOR THERAPY, DIAGNOSTIC
20 OR BOTH?

21 MS. GRANT: ALL FOUR.

22 DR. WILLIAMS: EVERYTHING.

23 MS. GRANT: EVERYTHING. I WAS SHOCKED TO HEAR
24 THAT THE INSPECTORS WOULD GO IN AND THE GUY WOULD
25 SAY YOU'RE NOT SUPPOSED TO BE WORKING WITHOUT

1 SUPERVISION. WELL, MY SUPERVISOR COMES IN EVERY
2 ONCE IN A WHILE. BUT WHAT I'M DOING IS REALLY NOT
3 PHYSICIST WORK. BUT IT REALLY IS. BECAUSE
4 ANYTHING THAT THEY DO SHOULD BE SUPERVISED. SO,
5 IT'S SCARY. AND I WAS THANKFUL THAT DEBBIE
6 BROUGHT IT TO MY ATTENTION. AND WE DID GET SOME
7 NOTICE OUT. HOPEFULLY IT'S JUST BROUGHT PEOPLE
8 BACK AROUND TO WHERE THEY'RE SUPPOSED TO BE.
9 PEOPLE CAN LOSE THEIR LICENSE FOR
10 DOING THINGS LIKE THIS, SO THEY NEED TO TAKE IT
11 SERIOUSLY.

12 MR. SEDDON: IS IT MORE THERAPY PEOPLE OR
13 DIAGNOSTIC?

14 MS. GRANT: I DON'T KNOW.

15 MS. GILLEY: THIS STARTED WITH A NEW MEDICAL
16 THERAPY REGULATIONS WITH HDR. WHAT WE HAD WAS
17 WITH THE NEW MEDICAL REGULATIONS. WE REQUIRE DIRECT
18 SUPERVISION OF HDR PROCEDURES TO BE DONE WITH A
19 MEDICAL PHYSICIST AND AUTHORIZED USER PHYSICALLY
20 PRESENT AT THE INITIATION OF THE TREATMENT. THE
21 PHYSICIAN IS THEN ABLE TO LEAVE AFTER INITIATION,
22 BUT THE MEDICAL PHYSICIST MUST STAY FOR THE
23 DURATION OF THE ENTIRE TREATMENT.

24 SOME INSTITUTIONS WERE NOT PREPARED FOR A
25 MEDICAL PHYSICISTS TO BE AVAILABLE FOR HDR

1 PROCEDURES. AND SO THEY TRIED TO FIND A WAY TO
2 USE PHYSICISTS-IN-TRAINING TO BE THE MEDICAL
3 PHYSICISTS THAT WAS THERE DURING INITIATION AND
4 THROUGH THE ENTIRE PROCEDURE OF THE TREATMENT.

5 AND THAT'S HOW WE BECAME AWARE OF IT. AND IF
6 YOU DID NOT KNOW BEFORE WE ADOPTED THE NEW
7 REGULATIONS, WE HAD NO REQUIREMENT OF AUTHORIZED
8 USE OR MEDICAL PHYSICISTS TO BE PRESENT DURING
9 HDR. SO THAT IS THE CHANGE FOR US TO BE
10 COMPATIBLE WITH THE NUCLEAR REGULATORY COMMISSION
11 PROBABLY STARTED THE PROCESS.

12 MR. FUTCH: THAT WASN'T OUR RULE. THAT WAS
13 ONE OF THE COMPLIANCE ISSUES WE HAD TO ADOPT BY
14 HDRC?

15 MS. GILLEY: RIGHT. RIGHT. IT'S NOT THAT WE
16 DID NOT FOREWARN, BEG, STEAL, BORROW, PLEAD WITH
17 THAT MEDICAL PHYSICIST TO GET ON THE LICENSE AND
18 TO GET ALL OF THIS IN PLACE BEFORE WE ADOPTED THE
19 REGULATIONS. IN 2005 WE SENT AN INFORMATION NOTICE
20 THAT WE WOULD BE ADOPTING THESE. THESE WERE
21 COMPATIBILITY ISSUES. THESE WERE GOING TO BE
22 PROBLEMATIC FOR US AND FOR YOU TO TRY TO GET AS
23 MANY MEDICAL PHYSICISTS ON YOUR LICENSE SO WE
24 COULD GRANDFATHER THEM IN AS WE COULD.

25 MR. SEDDON: AND AT EVERY SINGLE MEETING FROM

1 THAT POINT.

2 MS. GILLEY: YES. SOMETIMES PEOPLE DON'T LIKE
3 TO LISTEN TO THE STATE.

4 MS. GRANT: I UNDERSTAND THAT THIS IS A -- WE
5 DON'T HAVE THAT MANY LICENSED PHYSICISTS IN
6 FLORIDA. AND THAT'S WHAT I HEAR DAILY. YOU KNOW,
7 THERE ARE NOT THAT MANY PHYSICISTS. THEY'RE NOT
8 ANY IN MY AREA IN THIS PARTICULAR DISCIPLINE. SO,
9 I DON'T KNOW.

10 MS. GILLEY: IN LIGHT OF THAT, YOU NEED TO
11 KNOW THAT THE REQUIREMENTS FOR MEDICAL PHYSICISTS
12 EDUCATIONAL PROGRAMS WILL BE CHANGING IN 2012. IS
13 THAT CORRECT, MARK?

14 MR. SEDDON: (NODS HEAD.)

15 MS. GILLEY: SO WE MAY SEE ANOTHER STRESSOR ON
16 THAT INDUSTRY FOR HAVING --

17 MR. SEDDON: THE, YEAH, THE REQUIREMENTS IN
18 ORDER TO OBTAIN CERTIFICATION IN THE SUMMER THE
19 DISCUSSION WITH ABR, IS THAT IT WOULD BURROWE
20 INTO THE DIAGNOSTIC IMAGING. BUT DIRECT IMPACT TO
21 THE -- NO LONGER PATHWAY OF ABR AND STILL THE ABSM
22 PATHWAY. SO THINGS CHANGE SLIGHTLY. YES,
23 RESIDENCY REQUIREMENT A LITTLE MORE COMPLICATED
24 FOR NEW PEOPLE TO COME TO THE STATE.

25 MS. GRANT: THE STATUTE DOESN'T ALLOW FOR

1 THAT. MY CONCERN FOR THE RESIDENCY WAS THAT OUR
2 STATUTE DIDN'T ALLOW FOR THE UNLICENSED TO
3 PRACTICE. AND THAT'S WHAT THE RESIDENCYS WOULD BE
4 CONSIDERED. SOMEONE IN THE RESIDENCY PROGRAM
5 WITHOUT STATUTORY AUTHORITY, BUT THE STATUTE DOES
6 ALLOW THAT. THE RESIDENCY WON'T AFFECT US.

7 DR. JANOWITZ: ARE THERE ACTUALLY MANY
8 PHYSICISTS-IN-TRAINING?

9 MS. GRANT: WE HAVE ABOUT 80.

10 MR. FUTCH: WHAT IS THE APPROXIMATE NUMBER FOR
11 ALL THE MEDICAL PHYSICISTS?

12 MS. GRANT: PROBABLY 350. NOW MOST OF THE
13 THOSE HOLD MULTIPLE LICENSES.

14 MR. FUTCH: YEAH.

15 MS. GRANT: THE OTHER THING, IT'S NOT IN THE
16 AGENDA PACKET, BUT WE WANT TO LET YOU KNOW WE ARE CURRENTLY
17 WORKING WITH OUR IT PEOPLE
18 FOR ABOUT TWO MONTHS TRYING TO PUT OUR RAD TECH
19 APPLICATION PACKETS ON-LINE FOR INITIAL LICENSURE.
20 WE WILL BE TESTING
21 IN THE NEXT TWO MONTHS. IT SHOULD HIT THE STREETS
22 HOPEFULLY IN JANUARY. THAT SHOULD HELP A LOT.
23 WE'RE LOOKING AT "OTHER PAYER" OPTIONS WHICH WILL
24 ALLOW SCHOOLS IF THEY HAD MANY STUDENTS, TO
25 GO ONLINE AND ACTUALLY PAY FOR THEIR STUDENTS.

1 SO WE'VE GOT GOOD THINGS COMING. HOPEFULLY,
2 WITHIN THE NEXT YEAR, PROGRAM DIRECTORS WILL BE ABLE TO
3 VERIFY COURSE COMPLETION/ HIV/AIDS ONLINE.

4 MS. CURRY: DO AWAY WITH THE LETTERS, YEAH.

5 MS. GRANT: WE'RE WORKING IN THAT DIRECTION.
6 THAT MAY BE A WHILE COMING OUT. BUT THE ON-LINE
7 APP'S WILL BE OUT SOMETIME PROBABLY JANUARY OR
8 FEBRUARY. THAT'S ALL
9 THAT IS HAPPENING TO MQA. EXCEPT I GUESS THIS
10 SESSION THEY WILL TRY TO DECIDE WHERE TO PUT US.
11 DBPR OR LEAVE MQA AT DOH.

12 MR. FUTCH: IS RAD TECHS GOING WITH YOU?

13 MS. GRANT: THAT I DON'T KNOW. OR THE EMT'S
14 OR PARAMEDICS.

15 MS. GILLEY: IF YOU DIDN'T KNOW AS OF LAST
16 SESSION THERE WAS A BILL PASSED TO LOOK AT
17 REORGANIZATION OF DEPARTMENT OF HEALTH. SO THAT'S
18 WHAT THE CONVERSATION ABOUT WHERE ALL OF US WILL
19 BE AFTER THE NEXT LEGISLATIVE SESSION.

20 MS. GRANT: THANK YOU.

21 DR. JANOWITZ: YOU DON'T NEED AN ACTION ON
22 THIS?

1 MS. GRANT: NO.

2 DR. JANOWITZ: NEXT ITEM IS FLUOROSCOPY.

3 MR. STEINER: MY NAME IS DON. I PASSED OUT
4 TWO HANDOUTS. ONE LOOKS KIND OF SIDEWAYS
5 LANDSCAPE. AND THE OTHER HAS A PICTURE ON IT OF A
6 FLUOROSCOPE. AND WE WOULD LIKE TO BRING TO YOUR
7 ATTENTION THIS AFTERNOON IS WE HAVE A PROBLEM.

8 WE HAVE A PROBLEM, AN ON-GOING PROBLEM, WITH THE
9 MEASUREMENT OF THE MAXIMUM, WHAT WE CALL,
10 ENTRANCE RATE EXPOSURE, IRR, IER, I SAID THAT
11 WRONG. ENTRANCE EXPOSURE RATE. THERE IS NO
12 PROBLEM WITH THE ABOVE TABLE WHERE THE
13 RADIATION IS COMING DOWN. WE HAVE A CYLINDRICAL
14 PHANTOM THAT REPRESENTS A PATIENT, 30 CM, WHICH
15 HAPPENS TO BE 12 INCHES IN DIAMETER. AND SO THE
16 MEASUREMENT FOR WHERE IT WOULD ENTER THE SKIN, THE
17 RATE ENTERING THE SKIN COULD BE MEASURED 30 CM OR
18 12 INCHES ABOVE THE TABLE TOP. WHEN IT IS COMING
19 DOWN.

20 IF IT'S COMING FROM UNDER THE TABLE,
21 HISTORICALLY IT WAS MEASURED SOMEPLACE ABOVE THE
22 TABLE AND THEN BACK CALCULATED DOWN TO THE TABLE
23 TOP. BECAUSE IF THAT SAME CYLINDER, LAYING ON THE
24 SAME TABLE, IS THE ENTRANCE RATE TO THE SKIN
25 WHERE IT CAME THROUGH THE TABLE.

1 IF YOU LOOK AT THE TABLE IT SAYS THE FEDERAL
2 REQUIREMENT AND THE INSPECTION PROCEDURE, COLUMN
3 THREE AND FOUR OR TWO AND THREE IF YOU DON'T COUNT
4 THE FIRST ONE. IT NOW SAYS 1 CENTIMETER ABOVE THE
5 TABLE TOP OR CRADLE. WHAT THAT MEANS IS THAT THE
6 FDA IS TOO LAZY TO BACK CALCULATE THE MEASUREMENT
7 THAT THEY MEASURE DOWN TO THE TABLE TOP.

8 WHEN I SAY TOO LAZY, I MEAN IT IS TO(O)
9 INCONVENIENT. TO BACK CALCULATE WHAT THE DOSE
10 RATE IS AT THE TABLE TOP, YOU HAVE TO KNOW WHERE
11 THE FOCAL SPOT IS UNDER THE TABLE. YOU HAVE TO
12 KNOW THAT DISTANCE. TO DO THAT, YOU HAVE TO DO A
13 TEST, ANOTHER TEST. SO WHAT THEY'RE SAYING WE'LL
14 PUT A PROBE 1 CM ABOVE. IT'S PRETTY DARN CLOSE TO
15 COUNT AS THE RATE. SO THE STATE INSPECTION
16 PROCEDURE ALSO SAYS 1 CM ABOVE THE TABLE TOP. IF
17 I'M MAKING ANY SENSE.

18 NOW WE GET TO THE PROBLEM ONE. AND THAT IS,
19 WITH A LATERAL, WHERE IT'S MEASURED Laterally, THE
20 BEAM SHOOTS ACROSS THE TABLE, THE FEDERAL
21 STANDARDS IS 15 CENTIMETERS FROM THE CENTER LINE
22 TOWARDS THE TUBE. I HAVE THE SAME PHANTOM. I'M ON
23 THE SAME TABLE. BUT NOW THE TUBE IS NOT POINTING
24 STRAIGHT DOWN. IT IS POINTING TO THE SIDE. AND
25 THE ENTRANCE RATE IS NOT ABOVE OR NOT BELOW. BUT

1 IF THE PHANTOM IS CENTERED ON THE TABLE, 15 CM OR
2 HALF OF THAT DISTANCE BACK TOWARDS THE TUBE THAT
3 IS THE DIRECTION THE BEAM IS COMING FROM.

4 IT SHOULDN'T BE A PROBLEM. BUT IT IS.
5 BECAUSE PART OF IT IS SEMANTICS AND PART OF IT IS
6 THE MANUFACTURE TRYING TO QUOTE THE FEDERAL RULES,
7 THAT DON'T REALLY SAY WHAT THEY WANT IT TO SAY
8 BACK TO US. BUT WE'RE TRYING TO RESOLVE IT.
9 TRYING TO RESOLVE THIS PROBLEM. THERE IS A
10 DEFINITION IN THE FEDERAL GOVERNMENT AND IN THE
11 STATE CALLED C-ARM. WE CHANGED THE DEFINITION A
12 COUPLE OF YEARS AGO IN THE STATE RULES TO SAY
13 "MOBILE C-ARM" TO CLARIFY. BECAUSE AT THE TIME THAT
14 THESE RULES WERE WRITTEN, THERE WAS NOT A MOBILE
15 C-ARM. ALL THE C-ARM'S WERE MOBILE. I PUT IT
16 BACKWARDS.

17 AND SO IF YOU HAVE SOMETHING THAT YOU'RE
18 MOVING AROUND AND YOU DON'T HAVE A TABLE TOP AND
19 LIKE WHERE AM I GOING TO MEASURE THIS THING, THE
20 EASIEST THING TO DO IS MEASURE 30 CM FROM THE
21 INPUT SURFACE OF THE C-ARM. WHICH IS JUST AN
22 X-RAY TUBE WITH PHOSPHOR THAT MOVES, YOU KNOW,
23 CONNECTED WITH A BIG "C".

24 SO THEY'RE GANG. BY THAT WAY THE X-RAY FIELD
25 IS RESTRICTED TO THE INPUT PHOSPHOR AND

1 LIFE IS GOOD. AND WE STILL DO THAT. BUT WHAT IS
2 HAPPENING TO US NOW IS WE HAVE SPECIAL PROCEDURES
3 LIKE CARDIAC CATH AND INTERVENTIONAL STUDIES OR
4 WHATEVER HAVE GOTTEN AWAY FROM BI-PLANNAR UNITS.

5 SO WE HAVE A MACHINE THAT SHOT UP AND DOWN, A
6 MACHINE THAT SHOT SIDEWAYS AND USE CUT FILM FOR A
7 LONG TIME. AND THEN THEY SWITCHED TO DOING
8 DIGITAL. THEY'VE CHANGED. WHAT THEY CHANGED TO
9 IS USING C-ARMS. OKAY. AND THEY TAKE THE FEED
10 OUT OF THAT AND THEY CAN TAKE THE IMAGE OFF OF THE
11 C-ARM AND USE IT FOR YOUR INTERVENTIONAL STUDIES.

12 NOW, WE SAID WE STILL, AS THE STATE OF FLORIDA
13 RADIATION CONTROL, WANT TO MAKE SURE THAT YOU DO
14 NOT EXCEED THE 10 R PER MINUTE MAXIMUM ALLOWABLE
15 DOSE TO A PATIENT. WHETHER IT IS COMING FROM
16 ABOVE OR BELOW OR SIDEWAYS. THIS IS HOW WE WANT
17 TO MEASURE. IF YOU LOOK AT THE C-ARM LATERAL TO
18 THE TABLE, 15 CENTIMETERS FROM THE CENTER LINE OF
19 THE TABLE THE DIRECTION OF THE SOURCE WITH THE
20 SPACER POSITION AS CLOSELY AS POSSIBLE TO THE
21 POINT OF MEASUREMENT.

22 THAT IS THE LITERAL STATE REQUIREMENT RIGHT
23 NOW. THAT IS NOT VERY WORKABLE. WHAT THEY'RE
24 SAYING PUSH THE X-RAY TUBE AS CLOSE AS YOU CAN.
25 THAT IS NOT GOOD IMAGING. BUT THAT WOULD BE THE

1 SAFETY LIMIT.

2 ACTUALLY, AND IF YOU LOOK AT THE FEDERAL
3 REQUIREMENT, IT SAYS THE SAME THING, 15
4 CENTIMETERS FROM THE CENTER OF THE X-RAY TABLE AND
5 THE DIRECTION OF THE SOURCE AND THE BEAM-LIMITING
6 DEVICE OR SPACER POSITIONED AS CLOSELY AS POSSIBLE
7 TO THE POINT OF MEASUREMENT.

8 SO THE FEDERAL GOVERNMENT IS REALLY SAYING THE
9 SAME THING. IT'S STILL NOT VERY WORKABLE. PEOPLE
10 HAVE TROUBLE WITH THIS. AND SO WHAT WE DID, IF
11 YOU LOOK OVER HERE ON THE VERY LAST COLUMN OF THAT
12 ROW, IT SAYS REQUEST THE OPERATOR TO SET UP THE
13 UNIT -- THIS IS OUR INSPECTION PROCEDURE --
14 REQUEST THE OPERATOR TO SET UP THE UNIT, AND MAKE
15 SURE THERE ARE METHODS TO REPRODUCE THAT SET UP
16 EXISTS. IT IS WRITTEN VERY BADLY. BUT IT IS
17 SHORTHAND.

18 SO THEY HAVE A METHOD THAT REPRODUCES THIS
19 GEOMETRY OR SET UP. IF THAT EXISTS, THEN COME 15
20 CENTIMETERS TOWARDS THE TUBE FROM THE CENTER LINE
21 OF THE TABLE, MAKE YOUR MEASUREMENTS THERE. SO
22 DON'T PUSH THE X-RAY TUBE BACK UP AGAINST WHERE
23 THE DOSAGE RATE IS HIGH. LET THE FACILITIES SET
24 UP THEIR EQUIPMENT AS THEY WOULD IN CLINICAL USE
25 AND THEN MAKE SURE THAT THEY'RE NOT EXCEEDING THE

1 SKIN DOSE, ENTRANCE DOSE, WHICH IS 10 R PER
2 MINUTE.

3 THIS IS US BEING REASONABLE. NOW, US BEING
4 REASONABLE GIVES US SOME TROUBLE WITH THE LAWYERS,
5 BECAUSE THE RULE SAYS SHOVE THE TUBE UP THERE.
6 AND SO WHAT WE'RE ACTUALLY DOING WITH OUR
7 INSPECTION PROCEDURES DOES NOT FOLLOW OUR ACTUAL
8 RULE. SO WE SAID OKAY NOT ONLY ARE WE GOING TO BE
9 REASONABLE, BUT WE'RE GOING TO FIX OUR RULE.

10 SO THIS IS THE SECOND HANDOUT WITH THE PICTURE
11 ON IT DESCRIBES AN END RESULT OF A MEETING WE HAD
12 WITH PHILLIPS MEDICAL SYSTEM A LITTLE OVER A MONTH
13 AGO, NOT MUCH, FIVE WEEKS AGO. AND WE WENT OVER
14 THIS WHOLE THING WITH THEM AND THEIR LAWYER. AND
15 ONE OF THEIR INDIVIDUALS. HAD A TITLE OF RADIATION SAFETY
16 OFFICER. AT LEAST HE WAS AN ENGINEER TYPE AND HE
17 KNEW WHAT WAS GOING ON WITH THE X-RAY EQUIPMENT WE
18 DESCRIBED TO THEM. AND HE SAID THIS MAY ACTUALLY
19 WORK. NOW LET ME GO BACK AND TRY TO SEE IF IT
20 WILL WORK.

21 SO HE DID. AND HE COME UP WITH THIS
22 PROCEDURE. THIS GUY, THE EIGHT STEPS ON IT. THE
23 KEY ONE THAT THEY SET THE SID TO THE MINIMUM,
24 OKAY, DOSE TO IMAGE DISTANCE AND MOVE THE TABLE
25 TOP AGAINST THE IMAGING RESISTANT RECEPTOR.

1 WELL, THEY CAN DO EVEN BETTER THAN THIS. THEY
2 CAN GET EVEN BETTER NUMBERS THAN THIS BECAUSE WE
3 WILL ALLOW THEM TO PUT NOT THE SID TO THE MINIMUM,
4 BUT THE SOURCE TO SKIN DISTANCE WHICH WOULD BE THE
5 SOURCE TO IMAGE DISTANCE PLUS OR MINUS THE
6 PHANTOM, THE 30 CM, PHANTOM IN THE BEAM. IF YOU
7 LOOK AT THE PICTURE OVER ON THE RIGHT-HAND SIDE
8 IT'S THE PHOSPHORUS AND ROD 30 CM DISTANCE. AND
9 THEN THEY SAY THIS IS WHERE THEY'RE GOING TO MAKE
10 THEIR MEASUREMENT. AND WHEN THEY DID THAT, THEY
11 FOUND THAT THEY DID MUCH BETTER. THEY WENT FROM I
12 GUESS NOT DOCUMENTED HERE, BUT IT IS DOCUMENTED IN
13 A NOTE I HAD THAT WENT FROM LIKE A 2.6 R/min
14 ALLOWABLE TO LIKE 6.6 R/min ALLOWABLE.

15 HOWEVER, EVEN THAT'S WRONG. I DON'T WANT TO
16 GET INTO A BIG ARGUMENT. I'M NOT SURE I WANT TO
17 GO THERE RIGHT NOW EITHER. THE REAL SKIN ENTRANCE
18 IS THE 10 R. IT'S NOT 6.6. IT IS 10 R. JUST IN
19 THAT GEOMETRY. WHATEVER GEOMETRY THEY HAVE THAT
20 THEY DOCUMENTED IN A PROCEDURE WE'LL ALLOW THEM TO
21 USE. OUR PROCEDURE ALREADY ALLOWS IT. NOW I NEED
22 TO CHANGE MY RULE, OKAY, TO SAY THAT THEY'RE
23 ENTITLED TO USE A PROCEDURE.

24 AND SO, I DON'T KNOW THAT I HAD IN "H". YEAH,
25 IN "H" IS ACTUALLY -- THE H TAB, IF YOU LOOK AT

1 IT. IF YOU WANT WHAT THE H TAB SHOWS, THE GREEN
2 HIGHLIGHT THE WORDS WE CHANGED YEARS AGO TO MAKE
3 THE DIFFERENCE BETWEEN THE C-ARM MOBILE, MOBILE
4 C-ARM AND THE SYSTEM THAT USED -- WHAT DO THEY
5 CALL IT --- THE SOURCE OPERATED LATERAL TO THE
6 PATIENT SUPPORT DEVICE.

7 ANYHOW, WHAT I'M SUGGESTING THAT WE DO TO FIX
8 THE RULE, I PRINTED IT OUT. I THOUGHT I HAD
9 COPIES BUT I DON'T. BUT IT IS ONLY ONE SENTENCE
10 LONG. IT GOES FLUOROSCOPES WITH A MOVABLE SOURCE
11 TO SKIN DISTANCE, SO YOU KNOW NOT SOURCE TO IMAGE
12 BUT SOURCE TO SKIN DISTANCE, SHALL HAVE A WRITTEN
13 PROCEDURE THAT INDICATES THE PROPER DISTANCE TO BE
14 USED. IT SHALL BE TESTED FOR COMPLIANCE AT THAT
15 DISTANCE.

16 I'M GOING TO STICK THAT INTO E UNDER WHERE IT
17 SAYS MEASURING COMPLIANCE. MEASURING COMPLIANCE
18 OF ENTRANCE EXPOSURE RATE LIMITS AND ADD THAT
19 SENTENCE. AND THE OUR RULES SAY COULD USE A
20 WRITTEN PROCEDURE OR PROCEDURE WILL SAY COULD USE
21 A WRITTEN PROCEDURE. AND THE PHILLIPS GUY SAYS,
22 YOU KNOW, LOOKS LIKE IT WILL WORK AS PICTURED
23 HERE. OKAY. THE INPUT PHOSPHOR WILL BE CLOSE TO
24 THE PATIENT AS POSSIBLE, WHICH MANY TIMES WILL
25 ACTUALLY BE THE TABLE. AND THE TABLE IS WIDER

1 THAN 12 CM. SO THERE WILL BE EXTRA INCHES ON THE
2 SIDE. IT'S NOT AS GOOD AS THE C-ARM WHERE THEY
3 PUSH IT ALL THE WAY AGAINST THE INPUT PHOSPHOR.
4 HOWEVER, IF THEY NEED TO DO THAT, THEY PUT THAT IN
5 A PROCEDURE, I'M GOING TO SHOVE MY PATIENT ALL THE
6 WAY OVER ON THE TABLE AGAINST THE INPUT PHOSPHOR.
7 WE'LL ALLOW THEM TO DO THAT AS LONG AS IT'S
8 DOCUMENTED IN A WRITTEN PROCEDURE.

9 LIKE I SAID, THEY DON'T HAVE TO SET THE SID OR
10 THE SSD, THEY DON'T HAVE TO PUSH THE EXTRA SOURCE
11 AS CLOSE AS POSSIBLE AND PUT IT IN A MORE USABLE
12 GEOMETRY.

13 GIVEN THOSE CONSIDERATIONS, I DON'T SEE PEOPLE
14 REALLY HAVING PROBLEMS WITH THIS. THE BIGGEST
15 PROBLEM THAT WE'RE GOING TO HAVE IS THE PROBLEM
16 WE'VE HAD IN THE PAST, WHICH IS WHERE THEY STILL
17 WANT TO KIND OF ARGUE WITH US, THAT THIS THING IS
18 A C-ARM AND THEREFORE IT SHOULD BE 30 CM FROM THE
19 INPUT PHOSPHOR PERIOD. THEY DON'T CARE WHERE THE
20 PATIENT IS. THEY WANT TO MEASURE IT THERE. AND
21 WE'RE SAYING WE DO CARE WHERE THE PATIENT IS
22 BECAUSE THAT'S WHERE YOU HAVE TO MEASURE THE
23 ENTRANCE RATE TO THE PATIENT. IT'S WHERE THE
24 PATIENT IS. NOT SOMEPLACE ELSE. ANY QUESTIONS?

25 MR. FUTCH: I HAVE ONE. THE WHOLE THING WITH

1 PHILLIPS TRYING TO COMPLY WITH THIS WAS THIS
2 FLORIDA WAS GETTING COMPLAINTS. WHAT WERE THEY
3 GETTING? I DON'T SEE ABOVE HOW FAR UP THEY WERE
4 MEASURING IT THEIR WAY?

5 MR. STEINER: LIKE 30 R. I DON'T HAVE -- I
6 DIDN'T MAKE A PICTURE BECAUSE I DON'T HAVE A COLOR
7 COPIER. I WASN'T ABLE TO GENERATE THAT. BUT
8 PHILLIPS THEY WERE ACTUALLY LOOKING AT OUR RULE
9 AND DOING IT VERY WORSE CASE.

10 NOW I'LL STAND UP HERE AND DESCRIBE THIS
11 THING. I'LL TURN IT AROUND WHERE YOU CAN SEE IT.
12 I KNOW YOU CAN'T SEE IT WHERE YOU'RE AT. BUT THEY
13 HAD THE SOURCE PUSHED AS CLOSE AS THEY COULD TO
14 THE POINT OF MEASUREMENT, WHICH MEANT THE INPUT
15 PHOSPHOR WAS WAY FAR AWAY FROM THE PHOSPHOR. SO
16 THEY WERE SAYING FDA ALLOWS ME TO MEASURE HERE AND
17 THE STATE OF FLORIDA WANTS ME TO MEASURE SO MUCH
18 CLOSER, LIKE THREE TIMES AS CLOSE. THEY WERE VERY
19 VERY, HIGH. THEY WERE INTERPRETING OUR RULES THEY
20 HAVE TO MEASURE HERE AND FDA ALLOWS TO MEASURE
21 HERE.

22 MR. FUTCH: THIS IS THE PICTURE.

23 MR. STEINER: THIS IS HOW WE SET UP. WHEN WE
24 USE THIS GEOMETRY THE ONE IN THE PICTURE IT WORKS
25 OR ALMOST WORKS. THIS IS FLORIDA. THIS IS THE

1 FDA. THIS IS FLORIDA UP AGAINST THE TUBE. THIS
2 IS WHERE THEY THOUGHT FDA SHOULD ALLOW THEM.

3 NOW, IN THEIR E-MAIL AND I DIDN'T WANT TO GET
4 UGLY WITH THE GUY BECAUSE HE WAS MEETING US IN THE
5 MIDDLE WE'LL TRY TO GET HIM TO MEET US ON THE
6 OTHER HALF. HE SAID WE SHOULD CONSIDER TO LET THE
7 FACILITIES USE FLORIDA FDA. THIS IS THE SOURCE
8 OVER HERE. THIS IS CLOSE. THIS IS FAR AWAY.

9 THEY WERE SAYING FLORIDA SHOULD CONSIDER
10 ALLOWING THE FACILITIES TO USE THE FDA RATE IF
11 THEY NEEDED TO. BUT THERE IS NO FDA RATE. IT'S
12 STILL 10 R. IT'S JUST WHERE THEY SAY THEY'RE
13 MAKING THEIR MEASUREMENT AT. MAY BE SEMANTICS.
14 OR MAYBE THEY WERE TRYING TO SAY LET US USE THE
15 FDA GEOMETRY OR MAYBE EVEN SIMPLER PUT, LET US USE
16 THE MOBILE C-ARM GEOMETRY IF WE HAVE TO PASS OUR
17 INSPECTION. WE WERE LIKE, NO. THE WHOLE FUNCTION
18 OF US EXISTING AND HAVING A LIMIT TO REDUCE THE
19 RATE TO THE PATIENTS, YOU KNOW, IN THE STATE OF
20 FLORIDA SAYING YOU CANNOT EXCEED THIS LIMIT. AND
21 THE TRUTH -- I'M SORRY. I WAS GOING TO SAY THE
22 TRUTH IS THEY DON'T OPERATE ANYWHERE CLOSE TO
23 THESE LIMITS NORMALLY. THEY PROBABLY OPERATE IT
24 WAY LESS THAN HALF OF THESE LIMITS.

25 MR. SEDDON: RIGHT.

1 MR. STEINER: ON A ROUTINE BASIS. EVEN ON A
2 VERY LARGE BASIS. TO DRIVE THIS THING UP TO MAX,
3 THE PATIENT WOULD NOT REALLY FIT IN THE EQUIPMENT.
4 THERE ARE SOME PATIENTS THAT DON'T FIT IN THE
5 EQUIPMENT. SO I'M NOT SAYING THEY DON'T.

6 MR. SEDDON: I THINK YOU KNOW THE VENDORS THEY
7 POINT TO THE RULE FROM THE FDA FROM LIKE 1978 OR
8 '79 WHICH IS BACK BEFORE WE REALLY HAD C-ARM TYPE
9 OF SET UP IN INTERVENTIONAL LABS. AS YOU'RE
10 DESCRIBING THE FIXED BY PLAIN SET UP. WHEN THE
11 INTERPRETATION COMES TO THE VENDORS DESIGN THIS
12 PRODUCT, THEY DESIGN FOLLOWING THE C-ARM GEOMETRY
13 MEASUREMENT 30 CM FROM THE INTENSIFIER. WHERE WE
14 HAD COMPLAINTS FROM THE VENDORS, WE ACTUALLY DO
15 FOLLOW THE PROCEDURE ON AT OUR FACILITIES AND THAT
16 PROCEDURE AND THAT WORKS. I'M HAPPY WITH THAT.
17 OTHER PHYSICISTS AREN'T SO HAPPY.

18 BUT THE VENDORS HAVE COMPLAINED IN THE PAST
19 THAT WHEN -- YOU'RE RIGHT THAT IS MEDICINE. IT'S
20 NOT CLINICAL. BUT WHEN YOU HAVE THE MAXIMUM ARM
21 BECAUSE OF THE WAY THE NEWER SYSTEMS CALIBRATED,
22 THEY AT TIMES HAVE DIFFICULTY TRYING TO CALIBRATE
23 THE SYSTEM TO MEET THE MAXIMUM ARE REQUIREMENT
24 WITH THE GEOMETRY WE SET UP. I CAN'T RECALL, I
25 THINK GE MORE THAN PHILLIPS. BUT I KNOW ONE OF

1 THE VENDORS TO LIE TO THE SYSTEM TO TRY TO MAKE IT
2 PASS THIS PARTICULAR GEOMETRY AS YOU'RE DESCRIBING
3 HERE AS PHILLIPS TO MAKE THAT MATCH. SO HAVE YOU
4 TALKED TO THE OTHER VENDORS AT ALL?

5 MR. STEINER: NO. PHILLIPS MEDICAL SYSTEM
6 HIRED A LAWYER WHO SENT A LETTER TO OUR LAWYER WHO
7 GOT US, YOU KNOW, TALKING TOGETHER WITH OUR
8 LAWYERS PRESENT. WE WERE TRYING TO SMOOTH IT OVER
9 WITH THEM FIRST, MAKE THEM HAPPY AND THEN WE WERE
10 TRYING TO GET THE REST OF THE VENDORS INVOLVED.

11 MR. SEDDON: THAT IS THE BIG THING. THAT IS
12 THE CONCERN WE HAVE IS MORE, YOU KNOW, IF THE
13 ENGINEERS ARE HAVING TO KIND OF LIKE CHEAT THE
14 SYSTEM TO MAKE IT PASS, IT'S NOT REALLY CLINICAL,
15 NECESSARILY A CLINICAL IMPACT FOR A PATIENT DOSE.
16 THEN WHAT TYPE OF -- BECAUSE NOW IT'S SO
17 COMPLICATED WITH THAT CATH LABS FILTERS COMING IN
18 AND OUT AND CHANGING EVERYTHING AS FAR AS HOW
19 THEY'RE ACTUALLY SETTING UP THE SYSTEM.

20 SO THAT IS THE CONCERN THAT HAS BEEN EXPRESSED
21 TO US, PHYSICISTS, WHEN WE TELL THEM, NO, WE WANT
22 YOU TO REDUCE YOUR MAX DOSE. HOW DOES IT IMPACT
23 THE NATIONAL CALIBRATION OF THE SYSTEM. NOW
24 ALMOST EVERYBODY HAS THE FLAT PATENT OII QUITE
25 DIFFERENT HOW IT USED TO BE.

1 THAT IS MY SUGGESTION TO MAKE SURE WE HAVE ALL
2 THE VENDORS ABLE TO CALIBRATE. BEFORE IT WAS
3 REALLY UNIQUE IN THE INTERPRETATION OF THE
4 GEOMETRY. MOST OTHER STATES MAKE REGULATIONS. I
5 WORKED IN GENERAL 15 CM SPECIFY MORE SPECIFICALLY
6 THE FIX LATERAL BI-PLANNAR OR THE FIXED TABLE TOP.
7 IT'S NOT AS WE INTERPRET HERE IN FLORIDA WHICH IS
8 ANYTHING THAT PUT IN LATER ROTATION. THAT IS THE
9 FAVORABLE POINT RIGHT THERE IS THE INITIAL TANDOM
10 FEDERAL RULE. THE LATERAL FIXED ORIENTATION.
11 THIS IS MORE CLINICAL USE.

12 MR. STEINER: THE ONLY RESPONSE TO THAT, YOU
13 KNOW, REALLY IS THE IDEA THAT IF YOU KNOW WHAT
14 YOU'RE DOING, YOU'RE PROBABLY NOT GOING TO HURT
15 YOUR PATIENTS. BUT IF YOU DON'T KNOW WHAT YOU'RE
16 DOING, AND YOU DON'T SET THE LIMITS, YOU KNOW, WE
17 DON'T GO IN AND INSPECT THE MAX LIMITS, AND PEOPLE
18 WILL PUSH THEIR PATIENTS AWAY FROM THERE AND PUT
19 PHOSPHORUS, AND A DOSE WILL BE, YOU KNOW, TWO OR
20 THREE TIMES WHAT THEY WAS GETTING THE DAY BEFORE
21 BECAUSE THEY DON'T HAVE A REPRODUCIBLE GEOMETRY OR
22 THEY DIDN'T LIMIT THEIR MAX RATE, YOU KNOW, TO THE
23 CLOSEST GEOMETRY.

24 SO THAT IS WHAT WE'RE TRYING TO AVOID AT OUR
25 LEVEL. THE BUREAU IN MY OPINION HAS BENT OVER

1 BACKWARDS, SO TO SPEAK, TO TRY TO MAKE ALLOWANCES
2 FOR THE STUFF TO WORK. WE DIDN'T GO IN AND
3 DOGMATICALLY SAY WE'RE GOING TO SET THIS UP WORSE
4 CASE GEOMETRY AND HASN'T PASSED OR YOU CAN'T USE
5 YOUR EQUIPMENT. WE'VE NEVER BEEN THAT WAY.

6 MR. SEDDON: RIGHT.

7 MR. STEINER: WE DO HAVE A PROBLEM. AND THAT
8 PROBLEM IS AS WE'RE TALKING TO THE LAWYERS SAYING
9 NO, NO, NO, THIS IS NOT HOW WE ACTUALLY MEASURE
10 IT. HE SAYS WELL, THAT DOESN'T MATCH YOUR RULE.
11 AND OUR LAWYER SAYS OKAY, WE HAVE TO FIX THIS RULE
12 LIKE RIGHT NOW. SO THEY'RE WORKING ON IT.

13 MR. SEDDON: AS YOUR RULE IS CURRENTLY
14 WRITTEN, ITEM NUMBER 5, E-5, STATEMENT, TABLE TOP
15 AS CLOSE AS POSSIBLE TO EXTRA SOURCE. ARE YOU
16 CHANGING THAT PARTICULAR SENTENCE OR ADDING THAT
17 ADDITIONAL COMMENT?

18 MR. STEINER: THE TABLE TOP WILL BE MOVED ON
19 THOSE THAT HAVE THE FIXED SOURCE TO THE DISTANCE
20 TO THE TABLE TOP MOVES UNLESS THEY HAVE A
21 PROCEDURE, YES. SO STARTS OUT IF YOU HAVE A
22 PROCEDURE YOU MEASURE, YOU DON'T NECESSARILY
23 MEASURE. I GOT THE NEXT ONE, TOO, I THINK.

24 MR. FUTCH: YOU HAVE THE PROTECTION.

25 MR. STEINER: OH, FOR PROTECTION. ACTUALLY,

1 WHAT I HAVE NEXT IS TWO DRAFT INFORMATION NOTICES.
2 ONE IS FOR MINIMIZING RISK OF CT AND THE OTHER IS
3 FOR MINIMIZING RISK FOR FLUOROSCOPY. ONE SHOULD
4 SAY CT ON THE TOP BOX AND THE NEXT ONE SHOULD SAY
5 FLUOROSCOPY. I'LL DO FLUOROSCOPY SINCE WE'RE
6 TALKING ABOUT FLUOROSCOPY.

7 THE LAST MEETING THAT WE WERE AT WE WERE
8 TALKING ABOUT WE SHOULD TRY TO BRING SOME
9 INFORMATION NOTICES BACK FOR REVIEW BY THE BOARD.
10 AND THIS IS KIND OF A ROUGH STAB. IT SAYS DRAFT
11 ALL OVER IT. IT'S FOR MINIMIZING RISK DURING
12 FLUOROSCOPY. IT TALKS ABOUT THERE IS ENOUGH
13 RADIATION FROM THESE MACHINES TO LEAD TO SKIN
14 INJURIES OR OTHER SHORT TERM EFFECTS.

15 SO WE WANT TO -- WE SHOULD TAKE INTO
16 CONSIDERATION THE FOLLOWING: THAT THE PHYSICIAN
17 PERFORMING PROCEDURES ARE TRAINED AND
18 CONVENTIONALIZED, STANDARD OPERATING PROCEDURES,
19 CLINICAL PROTOCOLS ARE ESTABLISHED. PROVIDE THE
20 STAFF OF GEOMETRY AND PROTECTIVE WEAR AND MEASURES
21 SUCH AS APRONS AND SHIELDS. AND THE
22 STAFF SHOULD UNDERSTAND THE USE OF THOSE, YOU
23 KNOW, ITEMS. THE QAQC TO ENSURE THE FLUOROSCOPY
24 FUNCTIONS CORRECTLY. AND PART OF THIS PROGRAM
25 SHOULD THEY DEVELOP OUTPUT OF THE SYSTEM AND THE

1 MODE OF OPERATION IS CHARACTERIZED TO ALLOW THE
2 RECORDING OF AN ESTIMATED PATIENT DOSAGE.

3 WHAT'S HAPPENING I GUESS YOU GUYS SAW
4 CALIFORNIA PASSED A REGULATION THAT SAYS FOR
5 EQUIPMENT THAT IS CAPABLE OF DOING IT, RECORD THE
6 DOSAGE. FLORIDA IS NOT LIKE THAT. IT'S NOT A
7 RULE. IN FACT, THE BOTTOM OF THIS SAYS IT'S NOT A
8 RULE. BUT FLORIDA WOULD LIKE THE FACILITIES TO
9 KIND OF KNOW WHAT THEIR DOSES ARE WHETHER THEY
10 HAVE THE BUILT IN MAGIC CALCULATOR OR NOT. IF
11 THEY KNOW THE TECHNIQUE TO DO AND AMPERAGE THEY ARE PULLING
12 A MINUTE, THEY CAN DO A GUESTIMATION OF ENTRANCE
13 DOSE. AND THEN I HATE TO USE THE WORD GUESS.

14 THEY HAVE AN IDEA. THEY HAVE A RANGE OF WHAT
15 THEY THINK IS ACCEPTABLE DOSES AND START
16 INVESTIGATING IF THEY HAVE TROUBLE. I DON'T
17 UNDERSTAND THAT. SO TYPICAL DELIVERED DOSES FOR
18 PROCEDURES TO BE DETERMINED AND INVESTIGATED --
19 SHOULD JUST READ IT INSTEAD OF TRYING TO THINK OF
20 IT.

21 AND THEN WHILE MAINTAINING ADEQUATE IMAGE
22 QUALITY USE EFFORTS TO MINIMIZE DOSAGE. THIS IS
23 THE PART WHERE I KIND OF HEARD THE COMMITTEE SAY
24 YOU NEED TO SUGGEST TO THEM SOME IDEAS TO REDUCE
25 DOSE OR AT LEAST BE THINKING ABOUT WAYS TO REDUCE

1 DOSE IF OPERATING A FLUOROSCOPE. SO WE CAME UP
2 WITH COLUMN A, BEAM TO THE SMALLEST SIZE, USING
3 HIGHEST KPP AND LOWEST MA. USING LAST IMAGE HOLD
4 AND OTHER TECHNIQUE OR MACHINE ADJUSTMENTS TO
5 MINIMIZE THE BEAM ON TIME OR OUTPUT. SOUNDS LIKE
6 A RUN-ON SENTENCE TO ME, BUT.

7 YOU KNOW SOME OF THE THINGS THEY CAN ACTUALLY
8 DO. AND THE LAST ONE WAS KEEPING THE IMAGE
9 INTENSIFIER AS CLOSE TO THE PATIENT AND X-RAY TUBE
10 AS FAR. THAT IS WHERE WE'RE GETTING THIS PREVIOUS
11 ISSUE FROM. IF THEY STRESS THAT RIGHT, THEN WE
12 SHOULDN'T BE RUNNING INTO SOME OF THE PROBLEMS
13 WE'RE HAVING.

14 AND THEN THE LAST BULLET WAS HAVING A PROCESS
15 IN PLACE LIMITING THE USE OF HIGH DOSE RATE MODE.
16 I DON'T THINK FLORIDA HAS A LOT ON HIGH DOSE RATE
17 MODE SYSTEM. DON'T SEE THEM THAT OFTEN WHEN I'M
18 REVIEWING THE INSPECTIONS. SO EITHER THE
19 INSPECTORS DON'T KNOW HOW TO PUT IN HIGH DOSE RATE
20 OR THEY DON'T EXIST.

21 AND THEY'RE SUPPOSED TO BE ASKING THE
22 OPERATORS. MAYBE THE OPERATORS DON'T KNOW.

23 MR. SEDDON: MOST OF THE SYSTEMS DO HAVE THEM.

24 MR. STEINER: I KNOW ALL THE C-ARM HAS THEM.

25 MR. SEDDON: BECAUSE THEY ARE BUILT RIGHT IN.

1 MR. STEINER: IT'S NOT EVEN AN OPTION. IT
2 COMES THAT WAY. BUT FOR A WHILE IT WAS OPTIONAL.
3 DO YOU WANT THE HIGH DOSE RATE. YEARS AGO IT
4 DIDN'T MAKE ANY DIFFERENCE. OKAY. IS THERE SOME
5 QUESTIONS ABOUT THAT, FLUOROSCOPY THINGS?

6 DR. ARMSTRONG: I HAVE A QUESTION.

7 MR. STEINER: SURE. IT'S NOT FINISHED, YOU
8 KNOW IF YOU HAVE SOME MORE IDEAS.

9 DR. ARMSTRONG: I'VE BEEN TO THE MEETING AND
10 THE C-ARMS USED FOR ORTHOPEDIC PROCEDURES AND SEEN
11 THAT THE BEAM SO FINELY FOCUSED THEY DIDN'T NEED
12 THAT.

13 MR. STEINER: I HEARD THAT, TOO.

14 DR. ARMSTRONG: WHAT IS THE STATE'S FEELING?

15 MR. STEINER: THE STATE'S FEELING ON THAT IS
16 THAT YOU WOULD ISSUE THEM UNLESS ONE OF TWO THINGS
17 HAPPENS. ONE, WOULD BE THAT THE MANUFACTURER GIVES
18 YOU ISOTOPE CURVE TYPE OF THING THAT SAYS YOU'RE
19 NOT REALLY IN A RADIATION AREA, YOU SHOULD BE LIKE
20 TWO OR MORE PER HOUR. YOU'RE AT A METER, YOU
21 KNOW, TWO OR MORE PER HOUR. FOLLOWING OFF SHARPLY
22 AND SOME COULD AND WE USED TO CALL THEM MICRO AMP
23 MACHINES. OR YOU DO YOUR OWN SURVEYS NECESSARY
24 TWO MR PER HOUR OR LESS AND GO AHEAD WEAR THE
25 APRON. DOESN'T HAVE TO BE A BIG APRON. THAT'S

1 THE SHORT ANSWER TO THAT.

2 ACTUALLY, THERE IS ANOTHER INTERESTING STORY,
3 I THINK I'LL TAKE TWO MINUTES FOR. WHEN THE
4 FEDERAL GOVERNMENT ALLOWED THESE MINI C-ARM
5 PRODUCTION, THEY AUTHORIZED THEM AS SOMETHING NEW.
6 AND THE STATE OF FLORIDA KIND OF CAUGHT ONTO THE
7 TERM MICRO, NOT A MILLI AMP BUT MICRO AMP. AND SO
8 IF IT PUTS OUT THAT LESS DOSE AND WE DON'T REALLY
9 HAVE PROBLEMS, THEY CAN BE IN THEIR OWN SPECIAL CLASS.

10 BUT IT TURNS OUT WHAT THEY REALLY WENT BACK TO
11 ACTUALLY READ, WHAT IT ACTUALLY SAID WAS, THE MINI
12 C-ARMS WERE FOR EXTREMITY USE ONLY. AND WHAT THEY
13 WERE EXCUSING THEM FROM OR MAKING ALLOWANCES FOR
14 WAS THE SOURCE TO SKIN DISTANCE. AND THEN THE
15 SOURCE TO SKIN DISTANCE FOR A FLUOROSCOPE, 35 CM
16 OR SOMETHING, 35, 38 CM, BUT BECAUSE IT'S COMPACT
17 DIDN'T MEET THAT. SO THEY WERE SAYING BECAUSE IT
18 IS FOR EXTREMITIES ONLY, WE'LL ALLOW THEM TO DO
19 AWAY WITH THIS REQUIREMENT FOR A MINIMUM SOURCE TO
20 SKIN DISTANCE.

21 THE VERY YEAR THAT THEY CAME OUT THOUGH, THEY
22 STARTED PUTTING THEM IN LARGER C-ARMS 12, 15
23 INCHES, 20 INCH THINGS. AND THEY WANTED TO USE
24 THEM FOR BABIES, PEDS, BECAUSE THEY THOUGHT
25 THEY COULD REDUCE THE DOSE BECAUSE THEY WERE

1 THINKING MICRO AMPS INSTEAD OF MILLI AMPS, AND
2 WOULD BE LESS DOSE SO THE PEDIATRIC PATIENTS,
3 ESPECIALLY SMALLER CHILDREN, NEWBORNS AND STUFF.

4 BUT IT GETS REAL COMPLICATED AS YOU GUYS CAN
5 TELL, WHEN YOU GOT SHORT DISTANCES, I MEAN THE
6 DOSE GOES UP WITH THAT A FACTOR OF TEN AND YOU ARE
7 ONLY CUTTING BY A FACTOR OF TEN, SO YOU'RE REALLY
8 BACK IN THE SAME RANGE. YOU'RE NOT REALLY SAVING
9 A DOSE.

10 SO, WE GOT OUR HEAD SCREWED ON STRAIGHT AND
11 SAID LOOK WE NEED TO STOP CALLING THESE THINGS
12 MICRO AMP. YOU DON'T SEE THAT TERMINOLOGY IN THE
13 RULES OR IN OUR PROCEDURES ANYMORE. WE CALL THEM
14 EXTREMITY ONLY USE. FME, FLUORO MOBILE EXTREMITY,
15 ONLY CLASS OF MACHINE.

16 MR. SEDDON: ANOTHER QUESTION. WOULD IT BE
17 POSSIBLE IN THE PREAMBLE HERE TO CATEGORIZE WHAT
18 TYPES OF PROCEDURES THAT THESE RULES WOULD APPLY
19 TO? FOR EXAMPLE, I'M NOT MICRO OR MINI C-ARM OR
20 IF IT'S MORE ROUTINE WHICH WOULD NEVER REALLY HAVE
21 -- YOU'RE ONLY DOING A COUPLE SECTIONS OF FLORIDA,
22 YOU DON'T HAVE ANY RISK VERSUS INTERVENTIONAL
23 TABLE CARDIAC AND TEN MORE TARGETED HIGHER C-ARM
24 CASES, YOU HAVE LONGER AND SHORTER BECAUSE THE
25 BURDEN ON SOME FACILITIES TRACKING THE DOSE FOR

1 EVERYTHING MIGHT BE CUMBERSOME.

2 MR. STEINER: YOU'RE RIGHT. I HAVEN'T THOUGHT
3 ABOUT IT THAT WAY. THE TROUBLE WHAT I HAVE AS A
4 REGULATOR IS THERE IS THIS LIKE BELL CURVE OF
5 FACILITIES AND THIS IS THE POTENTIAL PROBLEMS.
6 BUT THERE IS THESE GUYS, YOU KNOW, OUT HERE. IF I
7 DON'T HAVE A RULE. I DON'T HAVE A RULE. THIS IS
8 INFORMATIONAL. SO I TEND TO AGREE WITH YOU.
9 SINCE IT IS KIND OF INFORMATION ONLY TYPE OF
10 THINGS, MAYBE SLANT IT MORE TOWARDS INTERVENTIONAL
11 FLUOROSCOPY DO THESE.

12 MR. SEDDON: INTERVENTIONAL PAIN MEDICINE OR
13 SURGICAL PROCEDURES.

14 MR. STEINER: SURE.

15 MR. SEDDON: ALSO, THE FIRST TARGET ARE YOU
16 GUYS WORKING ON, I KNOW A LOT OF STATES HAVE
17 PHYSICIAN PERFORMANCE PROCEDURES RULES ALREADY.

18 MR. STEINER: WE WOULD LOVE TO SEE, YOU KNOW,
19 SOME TYPE OF CREDENTIALING REQUIREMENTS PASSED.
20 MAYBE THEY WILL WITH, YOU KNOW, THE HEALTH CARE
21 ACT OR WHATEVER HAPPENS DOWN THE ROAD. BUT IT IS
22 SO HARD TO GET JUST REGULATIONS PASSED THAT DO
23 ANYTHING, IMPACT OR IMPINGE ON THE, YOU KNOW,
24 PRACTICE OF MEDICINE WHICH MY GUYS GET A LITTLE
25 NERVOUS PEOPLE TALKING ABOUT SOME EARLIER RULE

1 SOUNDS LIKE PRACTICE OF MEDICINE. WE'RE ALL ABOUT
2 RADIATION SAFETY, RIGHT, NOT PRACTICE OF MEDICINE.

3 WHAT WE DON'T WANT TO HAPPEN IS PEOPLE SAYING,
4 YOU KNOW, I'M IMMUNE TO YOUR RADIATION SAFETY
5 RULES BECAUSE I'M A PHYSICIAN AND I CAN DO WHAT I
6 WANT. WE DON'T WANT TO GO THAT FAR. BUT
7 SOMEWHERE THERE IS THE HAPPY MEDIUM.

8 MR. SEDDON: CREDENTIALLY IS THERE A SORT OF
9 PRACTICE?

10 MR. STEINER: THERE ARE A COUPLE OF PROGRAMS
11 THAT ARE COMMERCIALY AVAILABLE FOR CREDENTIALING
12 THAT USE FLUOROSCOPES. CALIFORNIA I BELIEVE HAS
13 ALREADY INSTITUTED ONE.

14 MR. SEDDON: THERE IS LIKE SIX OR SEVEN STATES
15 OUT THERE THAT HAVE. USUALLY TWO TO FOUR HOURS.

16 MR. STEINER: RIGHT. IT'S NOT BIG. AND IT'S
17 NOT WHAT A LOT OF PEOPLE WISH THAT THEY HAD. BUT
18 SOME DO AND WOULD BE SO HAPPY TO GO THAT ROUTE.

19 SO, AS I TAKE A STEP BACKWARDS. THIS IS AN
20 INFORMATION NOTICE, IT IS NOT A RULE, THAT SAYS WE
21 WOULD LIKE YOU TO CONSIDER THIS. AND WITH YOUR
22 CAVEAT PUSH INTERVENTIONAL PAIN MANAGEMENT. WE
23 CAVEAT THE FRONT END OF IT. ESPECIALLY THESE TYPE
24 OF PEOPLE, RIGHT. OF COURSE, YOU ARE GOING TO GET
25 THE COUNTER ARGUMENT. I'M THE INTERVENTIONALIST.

1 I KNOW ALL ABOUT RADIATION. YOU KNOW WHAT I MEAN,
2 THE PHYSICIANS WOULDN'T WANT TO ADMIT THAT THEY
3 DON'T UNDERSTAND RADIOLOGY.

4 MR. SEDDON: THE REASON I'M ASKING IS THERE IS
5 NO PLANS OF ANY TYPE OF CONVENTIONAL INFORMATION TO
6 FLUSH OUT RULE NUMBER ONE FOR SOME EXAMPLE FOR
7 TYPICAL HOURS OR SOMETHING.

8 DR. SCHENKMAN: OR WHATEVER PROGRAMS ARE
9 ALREADY AVAILABLE, PUT THEM IN PARENTHESIS AFTER
10 CREDENTIALING.

11 MR. STEINER: YOU ARE RIGHT. THAT WOULD BE
12 GOOD. ANYTHING ELSE? TAKING UP MY TIME.

13 MS. GILLEY: ARE WE STILL ON JUST FLUOROSCOPY?

14 MR. STEINER: ACTUALLY, I MENTIONED THE CT OR
15 DID I NOT?

16 MR. FUTCH: NO.

17 MR. STEINER: OKAY. TRANSITION, CT.

18 MR. FUTCH: THIS IS ITEM I NOW, H AND I
19 RADIATION PROGRAM. WE'RE PLACING THEM AS A
20 PROBATION PROGRAM. WE'RE DOING THESE INFORMATION
21 NOTICES INSTEAD OF OR AS A FIRST STEP FOR THAT.
22 AND, DON, TRANSITION START TALKING ABOUT THE CT
23 SIDE.

24 MR. STEINER: MINIMIZE THE CT --

25 MS. GILLEY: I JUST WANTED TO MAKE SURE WE GOT

1 CONSENSUS FROM THE ADVISORY COUNCIL THAT THE FIRST
2 STEP SHOULD BE AN INFORMATION AWARENESS
3 NOTIFICATION TO REGISTRANTS.

4 MR. FUTCH: ABOUT THE FLUORO, RIGHT?

5 MS. GILLEY: THE FLUORO. OKAY. THANK YOU.

6 MR. STEINER: CT NOTICE ACTUALLY IT LOOKS A
7 LITTLE BIT LIKE THE FLUORO. PHYSICIANS
8 APPROPRIATELY TRAINED OR CREDENTIALLED, STANDARD
9 PROCEDURES BE ESTABLISHED, PROGRAMS, QAQC PROGRAMS
10 TO ENSURE THE CT FUNCTIONS CORRECTLY. AS ON THE
11 SIDE, I'VE NEVER WORRIED ABOUT CT SCANS WORKING
12 CORRECTLY BECAUSE WHEN THEY DON'T WORK, THEY DON'T
13 WORK. ONE THING IS THE IMAGE GOES TO CRAP RIGHT
14 NOW. THE OTHER THING IS THEY TURN THEMSELVES OFF
15 AND SAY CALL THE REPAIR GUY. THEY'RE THAT
16 SOPHISTICATED ANYMORE.

17 SO WE DON'T EVEN DO A LOT OF CHECKS WITH THE
18 CT MACHINES OTHER THAN SCATTERED RADIATION TO
19 OPERATORS OR THEY MAINTAIN THE QA RECORDS AND THAT
20 TYPE OF STUFF. WE NEED TO KNOW TYPICAL DOSES
21 DELIVERED FOR EACH PROCEDURE AND INVESTIGATIONAL
22 LIMITS. AND HERE SOME BULLETS. I HAD A HARD TIME
23 COMING UP WITH BULLETS FOR CT. ADJUSTING
24 TECHNIQUES FOR THE PATIENT SIZE. WHICH MEANS IF
25 YOU HAVE LIKE SMALL PATIENTS OR PEDS, THERE ARE

1 TECHNIQUES AVAILABLE ESPECIALLY FOR THEM. THEY
2 MAKE SOME SUPERFICIAL -- SHIELDING FOR SENSITIVE
3 ORGANS.

4 THE ONE THAT'S MADE A LOT OF IMPACT LATELY
5 SEEMS TO BE THE BREAST SHIELDS. THEY NOW HAVE
6 SHIELDING MATERIAL STUFF THAT THEY CAN USE, OR THE
7 MACHINE ITSELF IF YOU TELL IT TO TURN OFF WHEN
8 ENTRANCE SIDE OF THE BEAM IS GOING THROUGH THE
9 BREAST AND STILL GIVE YOU FULL THREE-D IMAGING.
10 RESTRICT THE SCAN TO THE AREA OF INTEREST. WE HAD
11 SO MUCH TROUBLE COMING UP WITH IDEAS, WE EVEN LEFT
12 AN EMPTY BULLET. WE WERE HURTING FOR --

13 MR. SEDDON: IS THAT TO CURRENT MODULATION?

14 MR. STEINER: TO CURRENT MODULATION. YES,
15 BECAUSE YOU DON'T HAVE TO LOOK AT THE IMAGE WHILE
16 IT'S BEING RECORDED. MODULATION. BILL, MY BOSS,
17 PASSETTI, HE EVEN WENT SO FAR AS TO SUGGEST THAT IF
18 THE COMMITTEE SO DESIRED, THAT THEY MIGHT WANT TO
19 MAKE A SUBCOMMITTEE --

20 MR. FUTCH: SUBCOMMITTEE.

21 MR. STEINER: -- TO LOOK AT CT IN PARTICULAR.

22 MR. FUTCH: WORKING GROUP SUBCOMMITTEE,
23 WHATEVER YOU WANT TO CALL IT.

24 MR. STEINER: YOU CAN CALL IT WHATEVER YOU
25 WANT. ESPECIALLY IN THE PHYSICIST SIDE OF THE

1 HOUSE. THERE WAS PEOPLE INTERESTED IN WORKING ON
2 THAT. IT GETS A LITTLE ODIOS WORKING AS A
3 COMMITTEE THAT IS PART OF ANOTHER COMMITTEE, AND
4 YOU CAN'T TALK TO EACH OTHER ABOUT COMMITTEE
5 BUSINESS WITHOUT IT BEING RECORDED.

6 SO, BUT HAVING SAID ALL THAT, IF THERE IS AN
7 INTEREST, BILL WOULD BE INTERESTED. OR IF YOU CAN
8 TELL HIM, YOU KNOW, HEY FORGET IT.

9 MR. FUTCH: BEFORE YOU START OUT BY ASKING IS
10 THERE INTEREST. DON'T WORRY ABOUT THE MECHANICS
11 ON HOW WE MAKE IT WORK.

12 MR. SEDDON: THERE IS INTEREST FROM THE
13 MEDICAL PHYSICIST SIDE TO BE INVOLVED IN IT.

14 MR. FUTCH: WE HAVE INTEREST FROM THE
15 DEPARTMENT OF CORRECTIONS, MEDICAL PHYSICISTS
16 FOLKS. AND DEBBIE MAY SPEAK TO THIS OR DON WOULD.
17 WE'RE PRETTY MUCH SAYING, YES, WE WANT TO HELP YOU
18 RIGHT THESE THINGS. SO THAT WE CAN FOLD THAT IN.
19 THERE IS NOT LIMITATIONS TO RESTRICTIONS ON THEM
20 TALKING TO US BECAUSE THEY'RE NOT ONE OF THE
21 COUNCIL MEMBERS. THE ONLY THING IS IF TWO OR MORE
22 OF YOU FOLKS BECOME PART OF THE GROUP, WE JUST
23 HAVE TO DO THE SAME KIND OF STUFF WE DO NOW, WHICH
24 IS NOTICE FAW AHEAD OF TIME. NO BIG DIAL. RECORD
25 THE MINUTES. AND FOR THAT REASON, YOU KNOW, AUDIO

1 TYPE TELECONFERENCE MIGHT WORK PRETTY WELL. AND
2 OUTSIDE PARTIES CAN PARTICIPATE ALSO. IT HAS TO
3 START WITH IS THERE INTEREST ON BEHALF OF THE
4 COUNCIL MEMBERS WHO SHALL WE MENTION THEIR NAMES.
5 RAISE THEIR HANDS. MARK SEDDON, DR. JANOWITZ,
6 KATHY. DO WE NEED A MOTION TO FORM A WORKING
7 GROUP OF FOLKS TO LOOK AT?

8 MS. GILLEY: I WOULD DO A MOTION.

9 MR. FUTCH: ANYBODY MAKE A MOTION TO FORM A
10 WORKING GROUP TO LOOK AT THE CT ISSUE AND ASSIST
11 WITH LOOKING AT RIGHTS AND REGULATIONS FOR CT?

12 MR. SEDDON: MAKE A MOTION.

13 MS. DROTAR: SECOND.

14 MR. FUTCH: ALL IN FAVOR?

15 BOARD MEMBERS: AYE.

16 MR. FUTCH: SO WE HAVE MARK, KATHY AND DR.
17 JANOWITZ. THAT'S GOOD. ARE YOU DONE?

18 MR. STEINER: YES, I AM.

19 MS. DROTAR: IN HERE YOU HAVE ADJUSTING
20 TECHNIQUES FOR PATIENT SIZE. TO ME THAT IS A BIG
21 PATIENTS. SO MAYBE AGE APPROPRIATE TECHNIQUES.

22 MR. FUTCH: SHOULD WE SAY APPROPRIATENESS IN
23 THERE?

24 DR. SCHENKMAN: I HAVE A QUESTION. WHATEVER
25 HAPPENED WHEN WE DISCUSSED LAST TIME A LETTER FROM

1 THE SURGEON GENERAL.

2 MS. GILLEY: YOU WANT TO ANSWER THAT OR ME?

3 MR. FUTCH: THIS IS THE TRANSITION BY THE WAY.

4 MS. GILLEY: WE DID. WE HAD THE LETTER. IT
5 WAS APPROVED BY THE ADVISORY COUNCIL. IT WAS SENT
6 UP THROUGH THE RANKS AND FOLLOWED THROUGH THE
7 HIERARCHY AT THE DEPARTMENT OF HEALTH AND THE
8 ATTORNEY. THE SURGEON GENERAL DID NOT FEEL
9 COMFORTABLE SIGNING THE LETTER.

10 SO THE LETTER IS IN LIMBO. WE COULD OPEN UP
11 OTHER APPROPRIATE WAYS OF HANDLING THAT. IF THE
12 ADVISORY COUNCIL HAS ANY RECOMMENDATIONS. WE'VE
13 CONSIDERED SEVERAL THINGS SUCH AS HAVING THE
14 LETTER COME FROM THE ADVISORY COUNCIL, IF THAT IS
15 WHAT YOU ALL WOULD LIKE TO DO. WE CAN OF COURSE
16 HAVE BILL SIGN THE LETTER, FOR WHAT VALUE THAT
17 HAS.

18 SO TELL ME WHAT YOU THINK WOULD BE THE BEST
19 WAY TO GET THE INFORMATION OUT?

20 DR. SCHENKMAN: DOES SHE HAVE ANY SPECIFIC
21 THING THAT SHE --

22 MS. GILLEY: NOT THAT I WAS TOLD.

23 MR. FUTCH: WE MENTIONED THAT ONE.

24 MS. GILLEY: WE CONSIDERED AN INFORMATION
25 NOTICE. WE'VE CONSIDERED A TRI-FOLD. WHAT WE

1 NEED TO DO IS SOME MECHANISM TO GET THE
2 INFORMATION OUT. NOT NECESSARILY TO THE
3 PHYSICIANS THAT ARE READING THE EXAMS, BUT THE
4 PHYSICIANS THAT ARE ORDERING THE EXAMS. AND THAT
5 WAS THE FOCUS. IT WAS PUBLIC INFORMATION AND
6 INFORMATION GOING TO REFERRING PHYSICIANS WHO WERE
7 ORDERING THESE EXAMS.

8 MR. FUTCH: I DON'T WANT TO GET US BOGGED DOWN
9 IN THE MECHANISMS OF HOW WE SEND IT OUT. I MEAN,
10 I THINK THE REASON THAT THE COUNCIL OR THE BUREAU,
11 I FORGET WHICH CAME UP WITH THE IDEA OF GETTING
12 THE SURGEON GENERAL TO SIGN IT, WAS TO GIVE IT
13 WEIGHT. IT'S A LETTER FROM THE SURGEON GENERAL
14 STATE OF FLORIDA, OH, THAT'S GOOD.

15 IF YOU'RE GOING TO BE INCLINED TO READ IT,
16 MAYBE YOU WILL BE A LITTLE MORE INCLINED TO READ
17 IT. I DON'T KNOW AT THAT POINT. THAT IS NOT
18 HAPPENING. SO THE NEXT BEST THING WOULD BE MAYBE
19 A LETTER THAT SAYS STATE ADVISORY COUNCIL
20 RADIATION PROTECTION, ATTACH A LIST OF THE MEMBERS
21 AT THAT PARTICULAR POINT IN TIME. WE SENT IT OUT
22 THROUGH WHATEVER MECHANISMS WE WANT. WE WOULD
23 LIKE TO FIND OUT IF THAT'S WHAT YOU WOULD PREFER.
24 I DON'T WANT TO TELL YOU MY TWO CENTS. BUT WE DO
25 A LOT OF INFORMATION AND HERE THEY ARE. NOT

1 PARTICULARLY, YOU KNOW, AWE INSPIRING.

2 MR. STEINER: I HAD NO COLOR PRINTS.

3 MS. GILLEY: NO OFFENSE.

4 MR. FUTCH: BUT WE COULD AT LEAST SAY WHEN WE
5 SEND IT OUT, OH, YES, THIS IS SOMETHING THE STATE
6 OF FLORIDA ADVISORY COUNCIL RADIATION PROTECTION
7 IS VERY MUCH IN FAVOR OF. HERE IS THE MOTION
8 WHERE THEY REQUESTED THE BUREAU SEND THIS OUT WITH
9 THEIR SUPPORT.

10 MS. GILLEY: AND WE CAN LOOK AT THE INSERTS
11 INTO THE RENEWAL OF LICENSES FOR PHYSICIANS. WE
12 CAN LOOK AT GETTING THE INFORMATION OUT ON OUR
13 WEBSITE. OUR INSPECTORS CAN GIVE THIS INFORMATION
14 OUT WHEN THEY'RE OUT DOING INSPECTIONS. SO WE'VE
15 KIND OF GOT THE VEHICLE FOR DOING THE OUTREACH, WE
16 JUST DON'T HAVE THE PIECE OF PAPER THAT WOULD GIVE
17 US THE BIG BANG FOR OUR BUCK.

18 DR. SCHENKMAN: I DON'T THINK YOU CAN REACH
19 THOSE PHYSICIANS WITH THE INSPECTORS. THESE ARE
20 THE ORDERING PHYSICIANS.

21 MS. GILLEY: BUT SOMETIMES THE ORDERING
22 PHYSICIANS ARE ALSO THE ONES THAT HAVE THE
23 EQUIPMENT THAT WE ARE INSPECTING.

24 MR. FUTCH: I DON'T WANT TO CUT OFF ANY ANGLE.
25 BUT ONE OF THE THINGS WE CAN DO IF WE EVER GET THE

1 DOCUMENT IS PUT IT IN THE BOARD OF MEDICINE NEWS
2 LETTER. I'VE DONE THAT BEFORE. I'VE DONE IT WITH
3 LASERS IN THE PAST TO INCREASE THE AWARENESS OF
4 LASERS. WHATEVER METHOD OF COMMUNICATION BESIDES
5 THAT THEY HAVE. LIKE THEY HAVE A LIST SERVER
6 ALSO, BLAST THEIR LIST SERVER WITH A PDF DOCUMENT.
7 WE CAN USE OURS FOR THE THREE PEOPLE WHO ARE
8 CURRENTLY REGISTERED.

9 DR. JANOWITZ: SEEMS LIKE KIND OF A MOM AND
10 APPLE PIE ISSUE.

11 MR. FUTCH: IT'S HARD TO DO DEFINITION OF
12 SAFETY.

13 MS. GILLEY: YEAH, WE WERE QUITE SURPRISED,
14 LET'S JUST PUT IT THAT WAY, IT DID NOT HAVE THE
15 WEIGHT WE EXPECTED TO HAVE WITH THE SURGEON
16 GENERAL.

17 MS. BONANNO: ESPECIALLY WITH THAT, ALL THE
18 PUBLICITY AROUND.

19 DR. SCHENKMAN: I WOULD BE IN FAVOR OF GIVING,
20 YOU KNOW, HAVING THAT INFORMATION GET OUT THERE TO
21 ORDERING PHYSICIANS BECAUSE I THINK MOST OF THE
22 THEM REALLY HAVE NO CLUE.

23 DR. ATHERTON: I WOULD ALSO SUGGEST EITHER
24 TRYING AGAIN OR NEXT WE HAVE A NEW SURGEON GENERAL
25 JUST TRYING TO AGAIN TO GET IT THROUGH.

1 MR. FUTCH: PRETTY SURE --

2 MS. GILLEY: I'M SURE WE'LL PROBABLY DO THAT.

3 BUT IN THE INTERIM WE HAD THIS LETTER PREPARED FOR

4 A YEAR AND WE CAN'T SEEM TO GET IT OUT.

5 DR. SCHENKMAN: PEOPLE ARE GETTING HIGH DOSES

6 OF RADIATION FOR RELATIVELY NO REASON.

7 MR. FUTCH: SO ANYONE HAVE A MOTION?

8 MS. BONANNO: I MOVE THAT WE DO GET THIS OUT

9 WHICH EVER WAY IS BEST AND SIGNED BY THE COUNCIL

10 OR APPROVED.

11 DR. SCHENKMAN: SECOND.

12 DR. JANOWITZ: ANY FURTHER DISCUSSION? ALL IN

13 FAVOR?

14 BOARD MEMBERS: AYE.

15 DR. JANOWITZ: ANYONE THAT DOESN'T WANT THEIR

16 NAME IN THERE?

17 MR. FUTCH: TOO LATE, WE'VE ALREADY VOTED.

18 MS. GILLEY: THANK YOU. WE'LL DO OUR BEST TO

19 GET THAT LETTER OUT VERY QUICKLY BECAUSE WE'VE

20 JUST BEEN WAITING ON SOMEBODY TO SIGN IT. THANK

21 YOU.

22 DR. JANOWITZ: HAVE WE ALL SEEN A COPY OF THE

23 LETTER?

24 MS. GILLEY: WERE GLAD TO SEND YOU ANOTHER

25 COPY OUT. WE JUST NEED TO CHANGE THE SIGNATURE

1 BLOCK.

2 DR. JANOWITZ: WE WILL HOLD OFF UNTIL WE DO.

3 MR. SEDDON: I HAVE A QUESTION. THE TWO

4 INFORMATION NOTICES GOING OUT, IS THIS PART

5 TALKING ABOUT THE PROTECTION PROGRAM?

6 MR. STEINER: I'M SORRY. THOSE TWO

7 INFORMATION NOTICES SHOULD SAY DRAFT INSIDE THE

8 TOP BOX. THEY'RE NOT GOING OUT.

9 MR. SEDDON: WOULD THAT BE PART OF THE

10 REVISION, LIKE A REVISION TEXT PURPOSE. I THINK

11 LAST TIME WE DID VOTE ON THAT OR DISCUSS IT AT

12 LEAST.

13 MR. STEINER: WELL, LIKE I SAID, LAST TIME I

14 ACTUALLY DID SOME WORK ON A RADIATION PROTECTION

15 PROGRAM FOR THE FLUORO. AND I GOT KIND OF BOGGED

16 DOWN. THE MAJOR REASON BEING IN SEMI-LITIGATION

17 WITH PHILLIPS ABOUT, YOU KNOW -- SO REALLY WORKING

18 ON IT.

19 THE OTHER THING THAT HAPPENS, I HATE TO SOUND

20 LIKE A BROKEN RECORD, BUT PEOPLE DON'T LIKE US

21 PUTTING THINGS INTO OUR DOCUMENTS, AND EVEN THOUGH

22 RADIATION PROTECTION PROGRAM THAT DON'T HAVE A

23 BASIC RULE. SO AND THEN THE STUFF WE PUT IN

24 INFORMATION NOTICES THAT ARE SUGGESTIONS OR HELP

25 THEM FIND THINGS IN EXISTING RULE, THEY WANT YOU

1 TO TURN THEM INTO A REGULATION AT YOUR FIRST
2 OPPORTUNITY.

3 AND SO I THOUGHT, YOU KNOW, MY SPIN ON THE WAY
4 THE COMMITTEE SUGGESTED THAT WE COME UP WITH A
5 RADIATION PROTECTION PROGRAM FOR FLUOROSCOPES WAS
6 BASED ON THE MEASUREMENT ISSUE THAT WE JUST TALKED
7 ABOUT TODAY THAT I'M HOPING TO GET RESOLVED. AND
8 I LOST MY MIND -- ANYHOW.

9 WE REALLY JUST BEEN WAITING TO SEE IF I CAN'T
10 FIX THIS REGULATION AND I CAN COME UP WITH A --
11 I'LL KNOW WHERE I STAND BECAUSE I CAN POINT RIGHT
12 TO THOSE RULES FOR THE REQUIREMENT FOR A RADIATION
13 PROTECTION PROGRAM.

14 THE THING THAT WE'VE DONE OR WE HAVE DONE IN
15 THE PAST, I KNOW THIS IS BEING RECORDED, BUT WE'VE
16 KIND OF ASKED PEOPLE TO COME UP WITH A POLICY
17 REGARDING SOMETHING. WE DON'T TELL THEM HOW TO DO
18 IT. WE ASK THEM TO TELL US HOW THEY'RE GOING TO
19 DO IT. AND THEN THEY'RE KIND OF COMMITTED TO DO
20 IT. ALTHOUGH IT WOULD BE HARD TO ENFORCE IT
21 BECAUSE I DON'T HAVE A RULE. BUT WHAT I GOT IS
22 SOMETHING THAT THEY SIGNED THAT SAYS THEY'RE GOING
23 TO DO IT. SO THE RESPONSIBILITY, YOU KNOW, TO
24 FOLLOW THROUGH ON THOSE ACTIONS WOULD BE ON THEM,
25 IF IT GOT BROUGHT INTO LITIGATION.

1 WE'RE NOT GOING TO BRING IT TO LITIGATION.
2 BUT SOMEBODY ELSE, ONE OF THE PATIENTS, MIGHT
3 BRING IT TO LITIGATION. YOU CAUSED ME HARM OR
4 FOUL BECAUSE YOU DIDN'T DO THIS PART OF THE
5 RADIATION PROTECTION PROGRAM THAT YOU AGREED TO DO
6 AND SIGNED AND SUBMITTED TO THE STATE OF FLORIDA.
7 IF I'M MAKING ANY SENSE AT ALL. I FEEL LIKE --

8 MR. SEDDON: THERE'S CURRENT MOD PROCEDURES
9 FOR PROTECTION WE HAVE A POLICY IN PLACE THAT
10 ADDRESS THIS ISSUE. SO FOR CT IN PARTICULAR,
11 SINCE I KNOW WE WANT TO HAVE SOME TYPE OF CT
12 REGULATIONS IN PLACE, THAT SEEMS TO BE MAYBE NOT
13 FOLLOWING CALIFORNIA. I PREFER NOT TO. BUT
14 SOMETHING IN PLACE IN FLORIDA. IF WE CAN HAVE THE
15 RPP AND RPP RECOMMEND INITIALLY AND EVEN THOUGH A
16 WORKING GROUP I GUESS PUT THAT TOGETHER WITH THAT,
17 WITH THE INTENT THAT WITHIN TWO YEARS, HOWEVER
18 LONG IT TAKES TO PROPAGATE THE REGULATION, HAVE
19 THOSE ACTUALLY FLUSHED OUT TO BACK UP RPP.

20 MR. STEINER: IF WE HAD AUTHORITY IN FLORIDA
21 TO MAKE A RULE RIGHT AWAY.

22 MS. GILLEY: I THINK YOU DO HAVE SOME
23 AUTHORITY WITH THE RADIATION PROTECTION PROGRAM
24 REQUIREMENTS.

25 MR. STEINER: THERE IS SOMETHING THAT SAYS YOU

1 MUST HAVE A RADIATION PROTECTION PROGRAM.

2 MS. GILLEY: AND I THINK THIS IS JUST ONE
3 SUBSET OF THAT RADIATION PROTECTION PROGRAM. AND
4 I THINK THAT THE REGULATORY AUTHORITY IS THERE.
5 IS IT NICE AND CLEAR AND NEAT LIKE WE DO THE REST
6 OF OUR REGULATIONS. NO. BUT I THINK THE
7 REQUIREMENT IS THERE WITH THE RADIATION PROTECTION
8 PROGRAM.

9 MR. SEDDON: BUT THE INTENT THAT WE'RE ALSO
10 COMING UP WITH THAT ACTUALLY WRITTEN REGULATION
11 WITH THE YEARS AND THAT ALSO IS A PIECE.

12 MR. FUTCH: I THINK THIS IS A STARTING POINT.
13 WE HAVE MEETINGS TWICE A YEAR. IT IS GOOD TO HAVE
14 A WORKING GROUP TO TALK TO, EVEN THOUGH THERE ARE
15 SOME LIMITATIONS ON THE GROUP INTO THE NEARLY
16 TRYING TO GET TOGETHER FACE TO FACE. TWICE A YEAR
17 DOES WORK. I THINK BILL'S INTENTION IS TO MOVE IN
18 THAT DIRECTION.

19 MS. GILLEY: THERE ARE OTHER STATES THAT ARE
20 DOING LEGISLATION RIGHT NOW. SO IT'S REAL EASY
21 FOR US TO LOOK AT WHAT THEIR LEGISLATION IS. AND
22 THE CONFERENCE OF RADIATION CONTROL PROGRAM
23 DIRECTORS HAS A LOT MORE INFORMATION ON CT AND THE
24 FLUORO ASPECT THAT THE COMMITTEE CAN USE TO KIND
25 OF BUILD THEIR PROGRAM.

1 MR. SEDDON: IS THERE A STATE REGULATION ON
2 CT?

3 MS. GILLEY: WORKING ON IT. BUT THERE ARE
4 SOME INSPECTION PROTOCOL PROCEDURES, WHICH WILL
5 EVENTUALLY BE USED TO DEVELOP REGULATIONS.

6 MR. BURRESS: THIS IS PAUL. MOST OF THE X-RAY
7 PRODUCING MACHINES WE HAVE DON'T FALL UNDER THE
8 MODEL RPP THAT YOU SENT Out, BUT YOU'VE HELD OUR
9 FEE FOR A WHILE NOW. FOR INDUSTRIAL MACHINE YOU
10 MADE US SUBMIT THE INITIAL RPP FOR APPROVAL. YOU
11 DIDN'T TELL US WHAT WE HAD TO DO, BUT WE HAD TO
12 SUBMIT --

13 MR. STEINER: COVER THESE ITEMS.

14 MR. BURRESS: AND THEN WE CHANGED IT, WE HAVE
15 TO RESUBMIT. IT IS PRETTY BROAD LANGUAGE THAT
16 WOULD APPLY. I IMAGINE THAT FOR CT MACHINES, THE
17 PRECEDENCE HAS BEEN SET.

18 MR. STEINER: THAT'S WHY I SAID WE'VE BEEN
19 DOING THIS FOR A WHILE. AND I DON'T WANT TO ARGUE.
20 WE DON'T HAVE SOME AUTHORITY UNDER THE RADIATION
21 PROTECTION IS BROAD. BUT MOST OF THAT STUFF, I
22 DON'T KNOW HOW TO SAY THIS, I'LL JUST BLURT IT
23 OUT. WHEN I SAY YOUR RADIATION PROTECTION PROGRAM
24 MUST ADDRESS THIS AREA, I GOT RULES THAT TALK
25 ABOUT, YOU KNOW, SAFETY PROTECTION IN THAT AREA.

1 I CAN MAKE AN ARGUMENT FOR YOU HAVING TO ADDRESS
2 AS PART OF YOUR SAFETY PROCEDURES THIS AREA.

3 IF I WRITE ONE THAT SAYS THIS IS PREAPPROVED,
4 I GOT TO BE ABLE TO POINT BACK TO A REGULATION.
5 OR IF I WRITE ONE THAT SAYS YOU MUST DO THIS, I
6 HAVE TO POINT BACK TO A REGULATION. THAT IS WHERE
7 IT GETS A LITTLE HARD WHEN PEOPLE SAY I WANT YOU
8 TO WRITE ME A MODEL RPP BECAUSE IF I DON'T HAVE A
9 REGULATION TO POINT BACK TO.

10 THE BEST I CAN KIND OF DO IS I'M GOING TO DO
11 THIS OR I'VE GOT MY OWN SET OF WRITTEN PROCEDURES
12 THAT I'M GOING TO FOLLOW. WE LOOK AT THOSE TO SEE
13 IF THERE IS A HOLE IN THOSE OR SAYING SOMETHING
14 WRONG. YEAH, I'LL SHUT UP.

15 MR. BURRESS: I GUESS THE STICKY POINT IS YOU'D
16 BE PUTTING SPECIFIC LANGUAGE IN THERE FOR CT
17 MACHINES THAT AREN'T ALREADY IN THE RULES.

18 MR. STEINER: I CAN'T OR SHOULDN'T.

19 MR. BURRESS: IT'S MORE THE RADIATION LEVEL
20 AROUND THE INSTRUMENT.

21 MR. STEINER: WELL, SAY IT BACKWARDS. IF I
22 WROTE A VERY SPECIFIC INDUSTRIAL RADIOGRAPHY RPP,
23 YOU'D BE LOOKING AT ME GOING WHERE DOES IT SAY
24 THAT. WHY DO I HAVE TO DO THAT. WHEREAS, IF YOU
25 LOOK AT SAY WELL COMMON SENSE TELLS ME I SHOULD BE

1 DOING THIS, THIS AND THIS. AND IT SAYS I SHOULD BE
2 DOING THIS, THIS AND THIS. YOU KNOW, EVERYWHERE
3 I'VE EVER WORKED, YOU KNOW, WE'VE DONE THIS AND
4 THIS. THEN YOU GIVE THOSE TO ME. YEAH, THAT
5 LOOKS GOOD. WE CAN LIVE WITH THAT. THEN
6 EVERYBODY IS HAPPY. HAPPY IS NOT THE RIGHT WORD.
7 EVERYBODY IS SATISFIED.

8 DR. JANOWITZ: ARE THERE ANY MEMBER ISSUES WE
9 NEED TO DISCUSS? I GUESS IT'S TIME TO DECIDE NEXT
10 MEETING. DO YOU HAVE A SUGGESTION?

11 MS. GILLEY: UH-HUH.

12 MR. FUTCH: SOMETIME IN MAY. SO WE'RE
13 LOOKING AT MAY 3RD, 10TH, 17TH, 24TH. ANY SOCIETY
14 MEETINGS OR OTHER --

15 MS. GILLEY: MAY 1ST THROUGH THE 5TH. BUT I'M
16 NOT A KEY ELEMENT HERE.

17 MR. FUTCH: FIRST WEEK OR TWO IN MAY. ANYWAY
18 BILL HAS OBLIGATIONS WHICH IS THE EARLY, CRCPD WHICH
19 IS THE EARLY PART OF MAY. ANY TERRORISM
20 ACTIVITIES? I CAN'T THINK OF ANY.

21 MS. GILLEY: JAMES, WE HAVE A BIRTHDAY IN MAY,
22 WHEN IS THAT?

23 MR. FUTCH: SO THE 17TH, 10TH. ANY OBJECTION?
24 WHY DON'T WE SEND IT OUT AND WHEN EVERYBODY GOT
25 BACK TO THE OFFICE, YOU CAN FIGURE IT OUT.

1 MS. LIVINGSTON: WHAT ABOUT LOCATION. WHAT'S
2 THE PREFERENCE?

3 MR. FUTCH: ORLANDO VERSUS TAMPA OR MIAMI. I
4 DON'T KNOW. WE ALL ENJOY THE MIAMI AIRPORT SO
5 MUCH.

6 DR. SCHENKMAN: THIS WAS NICE.

7 MR. FUTCH: TRY ORLANDO AGAIN. WE'RE OPEN TO
8 SUGGESTIONS.

9 MS. LIVINGSTON: IS THERE ANYBODY WHO REALLY
10 DIDN'T LIKE ORLANDO FOR SOME REASON?

11 MS. GRANT: THIS IS MUCH NICER.

12 MR. FUTCH: WE'LL SAY ORLANDO AND TAKE A LOOK
13 AT THE 17TH OR 10TH. AND, JANICE, YOU COLLECT THE
14 FINAL DATE THERE.

15 DR. JANOWITZ: THANK YOU EVERYBODY.

16 MS. GRANT: THANK YOU.

17 MR. FUTCH: REMEMBER WORKING GROUP MEMBERS, NO
18 DIRECT COMMUNICATION WITH EACH OTHER, BY E-MAIL OR
19 THROUGH ME, ANYTHING ELSE UNTIL WE GET IT ALL SET
20 UP.

21 MR. SEDDON: OTHER PEOPLE OUTSIDE?

22 MR. FUTCH: YOU CAN TALK TO ANYBODY YOU WANT
23 TO. THANK YOU FOR COMING.

24 (THEREUPON, THE MEETING WAS CONCLUDED AT 2:30
25 P.M.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CERTIFICATE OF REPORTER

STATE OF FLORIDA)
COUNTY OF ORANGE)

I, KARA E. REYNOLDS, STENOGRAPHIC REPORTER,
CERTIFY THAT I WAS AUTHORIZED TO AND DID
STENOGRAPHICALLY REPORT THE FOREGOING PROCEEDINGS AND
THAT THE TRANSCRIPT IS A TRUE AND COMPLETE RECORD OF MY
STENOGRAPHIC NOTES.

DATED THIS 23RD DAY OF OCTOBER, 2010.

KARA E. REYNOLDS
STENOGRAPHIC REPORTER
COMMISSION # DD956381
COMMISSION EXPIRES: ONE-27-14