

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

**ADVISORY
COUNCIL ON
RADIATION
PROTECTION**

Bureau of Radiation Control
Tampa Airport Marriott
Tampa, Florida

Tuesday, May 12, 2015
10 a.m. - 3:25 p.m

Reported by:
Rita G. Meyer, RDR, CRR, CBC, CCP
Realtime Reporter and Notary Public
State of Florida at Large

1 MEMBERS PRESENT:

2 Randy Schenkman, M.D., Chairman

3 Mark S. Seddon, Vice-Chairman, MP, DABR, DABMP

4 Armand Cогnetta, M.D.

5 Alberto Tineo, CNMT

6 Patricia M. Dycus, BS, RRA(R) (M), RDMS

7 Tim Richardson, RT(R)

8 Kathy Drotar, M.Ed., RT. (R) (N) (T)

9 Paul Burress, CHP

10 William Atherton, D.C.

11 Carol V. Bonanno, CNMT, FSNMTS

12 Timothy R. Williams, M.D., FACR

13 DEPARTMENT OF HEALTH, BUREAU OF RADIATION CONTROL STAFF:

14 James Futch, Health Physicist Administrator

15 Brenda Andrews, Business Consultant

16 Yvette Forrest, Environmental Administrator

17 Tim Dunn, Emergency Response Manager

18 Giles Toole, Environmental Specialist III

19 DEPARTMENT OF HEALTH, MEDICAL QUALITY ASSURANCE
20 STAFF PRESENT:

21 Gail Curry, Regulatory Consultant

22 GUESTS PRESENT:

23 Chantel M. Corbett, A.S., CNMT, RT(N), RSO, FusionPhysics

24 Cybil Nielsen, CNMT, NMTCB

25 Richie Spangler, CHMM, CDGP, Sandia National Laboratories

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

A G E N D A

PAGE

Welcome and Introductions4

Approval of September 23, 2014 Meeting Minutes ..5

MOA Update6

NMTCB Tomography Credential19

Medical Events63

Global Threat Reduction Initiative94

Black Pearl Exercise111

Southern Exposure128

Boards and Councils132

Next Meeting140

Aerial PRND Update141

Adjourn166

Certificate of Reporter167

1 RANDY SCHENKMAN, CHAIRPERSON: Hi everybody.
2 It's time, I guess, to get started for the meeting.
3 Welcome. I think maybe we should just go around and
4 everybody introduce themselves. Even though most of
5 us know each other, not everybody does, so --

6 PAUL BURRESS: I'm Paul Burress and I'm here
7 representing the Florida Health Physics Society.

8 TIMOTHY WILLIAMS: I'm Tim Williams
9 representing Florida Radiological Society, private
10 practice, Boca Raton.

11 KATHY DROTAR: Kathy Drotar. I am the
12 radiation therapy board member and work at Keiser
13 University.

14 YVETTE FORREST: Yvette Forrest. I'm with the
15 Bureau of Radiation Control, the Radiation Machine
16 program.

17 TIM DUNN: I'm Tim Dunn, Florida Bureau of
18 Radiation Control, Emergency Response Manager.

19 GAIL CURRY: Gail Curry, Department of Health,
20 Medical Quality Assurance.

21 CHANTEL CORBETT: Chantel Corbett, guest today
22 from Fusion Physics.

23 MARK SEDDON: Mark Seddon from Florida Hospital
24 in Orlando. I'm representing medical physicists.

25 GILES TOOLE: Giles Toole, Bureau of Radiation

1 Control, technologist.

2 JAMES FUTCH: James Futch, Bureau of Radiation
3 Control, Technology Standards and CE.

4 RANDY SCHENKMAN, CHAIRPERSON: Randy Schenkman,
5 radiologist now retired.

6 BRENDA ANDREWS: Brenda Andrews, Radiation
7 Control, DOH.

8 CYBIL NIELSEN: Cybil Nielsen. I'm here
9 representing the NMTCB.

10 TIM RICHARDSON: I'm Tim Richardson. I
11 represent the Florida Society of Radiologic
12 Technologists. I'm a radiographer and a
13 semi-retired program director (laughter) soon to be
14 retired, hopefully.

15 PATRICIA DYCUS: Patty Dycus, representing
16 radiologist assistants.

17 ARMAND COGNETTA: Armand Cognetta,
18 dermatologist, Tallahassee.

19 WILLIAM ATHERTON: Bill Atherton, chiropractor,
20 Miami.

21 CAROL BONANNO: Carol Bonanno representing the
22 CNMT medical techs.

23 ALBERTO TINEO: Alberto Tineo from Daytona
24 Beach, Florida.

25 RANDY SCHENKMAN, CHAIRPERSON: Okay. So we

1 have a pretty full agenda today. We're going to
2 start off by passing the previous -- the minutes
3 from the last meeting. Does anybody have any
4 questions, comments? Okay.

5 (No Response)

6 RANDY SCHENKMAN, CHAIRPERSON: Do we have a
7 motion to approve?

8 CAROL BONANNO: I so move.

9 KATHY DROTAR: Second.

10 RANDY SCHENKMAN, CHAIRPERSON: Okay. All in
11 favor?

12 ALL: Aye.

13 RANDY SCHENKMAN, CHAIRPERSON: Any opposed?

14 (No Response)

15 RANDY SCHENKMAN, CHAIRPERSON: So we'll move on
16 from there.

17 Gail is going to do the MQA update.

18 GAIL CURRY: Morning, everyone.

19 James has a slide on the board for you. It
20 will show the numbers we're looking at at this time.
21 Those were run as of this morning. So you can see
22 each category how many licensees we have. And our
23 computed tomography, those new modifiers that we
24 started, they are doing really well. We're really
25 getting quite a few. Mostly the CTs. And I don't

1 really need to elaborate much. You can see there
2 for yourselves.

3 So we have 28,448 licensed practitioners in the
4 State of Florida for all of our rad tech section.
5 We are working applications in two days from the day
6 that we get them in our office, so we're really
7 staying on top of it. I know some providers had a
8 little bit of a problem with our December
9 graduation. And I will let you know that all those
10 issues have been resolved. We did have a new
11 computer system go in place. A new data system. We
12 lost an employee, and EMTs and paramedics and rad
13 techs were all graduating at the same time. So with
14 two processors, we got behind. But everything is
15 running smoothly now. We're back up to 100% on our
16 employees and our system is starting to function
17 better. So that's kind of where we are.

18 We do have a new executive director, Allison
19 Dudley. She, unfortunately, had a situation come up
20 that she couldn't attend today. She had planned on
21 being here. She is going to attend the next meeting
22 so you can meet her. She was our acting bureau
23 chief for a short period of time and then chose to
24 come over and take over as executive director for
25 EMT, paramedic and pharmacy, because we're all

1 lumped together in one unit. And that's about it.

2 JAMES FUTCH: I wanted to ask a question or a
3 two about this.

4 GAIL CURRY: Sure.

5 JAMES FUTCH: I notice it says 28,448, so I'm
6 guessing that what they are actually counting is
7 certifications and not people. Because the people
8 is like 26, 27,000.

9 GAIL CURRY: Correct. Because one -- like a
10 general radiographer can hold more than one license.
11 They can also hold a mammography, a CT, an MR. They
12 can hold several licenses. Several modifiers with
13 one license. So, yes, that number would be lower.

14 JAMES FUTCH: Right. So we're always going to
15 have more licenses or credentials, if you will, than
16 we are people.

17 GAIL CURRY: Right. When you look at these
18 numbers, those would be licenses, not licensees.
19 So, like I said, a general radiographer may hold
20 three different licenses. Three different
21 modifiers.

22 PATRICIA DYCUS: What is the difference between
23 the CT and the CTT?

24 GAIL CURRY: We were just talking about that.

25 JAMES FUTCH: Thank you. You get the second

1 prize of the morning.

2 We had a long time ago a category of computed
3 tomography technologist that was closed by the act
4 of Legislature, like in 1987, to any new licensees.
5 And so, that seven down below is that category, that
6 old category. And those are people who have just
7 continued to renew those licenses and continue to
8 practice in that area.

9 The new category you can see up there, computed
10 tomography, is 193. That's the one we approved.
11 The Legislature passed in 2012, specialty
12 technologists. I think a year or so later, a year
13 and a half later, we got the rules and that's the
14 largest category, if you look at all the possible
15 applicants from either ARRT or from NMTCB, the
16 largest number of specialty techs is in the
17 computed tomography category.

18 It's kind of interesting the number of MR techs
19 is actually slightly more than the number of mammo
20 techs. That's partly a reflection of the new
21 specialty licenses are not required for practice in
22 those areas if you are in the primary area covered
23 by that.

24 So, for example, you could be a -- today, you
25 could be a general radiographer, practicing computed

1 tomography, and never have sought the CT license
2 from ARRT or maybe you did seek it and you just
3 didn't bother to apply for the Florida license.
4 Because CT is in the primary of area of x-ray,
5 under Florida law anyway, you're still fully
6 authorized to perform them.

7 And I guess that's its. They are actually --
8 there are some other licenses under Florida law.
9 Like the basic x-ray machine operator and the basic
10 x-ray machine operator podiatric, there is a, from a
11 different board, the Board of Podiatric Medicine in
12 Florida, there's a certified podiatric x-ray
13 assistant and those folks are more numerous than the
14 42 you see there. In fact, those 42 are probably
15 also licensed by the other board. Go ahead,
16 somebody asked me why we have two licenses to do
17 almost the same thing in Florida. I don't know.
18 But this one was here for decades before the other
19 one was created. I don't know the current status --

20 PATRICIA DYCUS: Why is the RA not listed
21 there?

22 JAMES FUTCH: Actually that's a good question.

23 GAIL CURRY: They should be.

24 JAMES FUTCH: The way the database is, the
25 licensing database is set up in Gail's system --

1 GAIL CURRY: They should be.

2 JAMES FUTCH: All of these fall underneath --

3 GAIL CURRY: 7601 or --

4 JAMES FUTCH: -- 7601 profession. All the RAs,
5 since they came along many years later, they set
6 them up underneath a different profession number,
7 7602. And whoever generated these numbers didn't
8 bother producing them for the 7602. We can actually
9 probably try and get that before the end of the day
10 and see if we can get them.

11 I think the last time we checked, it was in the
12 40s, I want to say Gail.

13 GAIL CURRY: Yeah. I had it written down but I
14 don't know where I wrote it down.

15 JAMES FUTCH: I don't think it's correct.

16 GAIL CURRY: I have it.

17 JAMES FUTCH: Gail's got it.

18 GAIL CURRY: No, I don't have it. Sorry.

19 JAMES FUTCH: You're teasing us, Gail.

20 YVETTE FORREST: We're trying to keep you on
21 your toes.

22 GAIL CURRY: I have radiologic assistants but
23 it's how many licenses we issued since June, July
24 1st of last year. We've issued two new licenses.

25 JAMES FUTCH: You're probably less than 50

1 still, I think.

2 GAIL CURRY: Yeah. I think it's, like, in the
3 20s. Oh, I have it. I knew I had it somewhere. We
4 have 30, 30 licenses. And have all the names, too,
5 if you want to look at them.

6 JAMES FUTCH: You're on there.

7 GAIL CURRY: We have 30 active licenses. There
8 were a lot of people that actually applied for that
9 license that weren't qualified. You know, because
10 they thought if they had a general radiography, they
11 can just get an assistant license.

12 PATRICIA DYCUS: Sure.

13 GAIL CURRY: So there were a lot of them that
14 we had to ask for ARRT information that they could
15 never supply for us. So we had a lot of people
16 applying, but only 30 of them actually qualified.

17 PATRICIA DYCUS: It's a lot of work.

18 GAIL CURRY: And Patty was the first.

19 RANDY SCHENKMAN, CHAIRPERSON: Gail, what's
20 going to happen next December? Is everything
21 straightened out so that --

22 GAIL CURRY: We are straight. We are straight.
23 As a matter of fact, a lot of the schools are
24 already starting to graduate so we're already seeing
25 an influx of applications. And they are staying

1 right on top of it. Like I said, I ran this report
2 on Monday morning and they are working at two days.
3 So that's like a really, really good number. Well,
4 actually, the report I ran from January 1st to
5 Monday, the 11th, they are working at one day. With
6 448 applications coming in.

7 Now, we also do EMTs and paramedics along with
8 that, too. So they are really staying on top of
9 things right now.

10 RANDY SCHENKMAN, CHAIRPERSON: Okay.

11 JAMES FUTCH: One, I wanted to make sure. I
12 don't see the PET license folks up there. So I'm
13 assuming that means they haven't licensed anybody in
14 PET?

15 GAIL CURRY: I don't think we've licensed a PET
16 license yet, but I'll check on it.

17 JAMES FUTCH: Okay.

18 TIMOTHY WILLIAMS: I wanted to try to connect
19 these dots in my own head. So if you're a general
20 radiographer, you can't do nuclear medicine
21 technology or radiation therapy technology.

22 CHANTEL CORBETT: You can have two licenses. We
23 have dual certification.

24 GAIL CURRY: You'd have to hold a second
25 license.

1 JAMES FUTCH: Underneath the one license,
2 you're correct.

3 TIMOTHY WILLIAMS: Don't make it too complex.

4 JAMES FUTCH: It's only 10:30, right.

5 TIMOTHY WILLIAMS: So if you're a nuclear
6 medicine technologist, you can't do general
7 radiography or RTT.

8 GAIL CURRY: Correct.

9 TIMOTHY WILLIAMS: But if you're an RTT, you
10 can't do nuclear medicine or general radiography.

11 JAMES FUTCH: Right.

12 TIMOTHY WILLIAMS: But a general radiographer
13 can do CT, MRI and mammography. And then a
14 radiation therapist technologist cannot do CT, MR
15 and mammography; is that right?

16 JAMES FUTCH: Let's leave MR out of it for just
17 a second.

18 TIMOTHY WILLIAMS: Okay. An RTT cannot do CT
19 or mammography.

20 JAMES FUTCH: They can do CT for simulation
21 purposes.

22 TIMOTHY WILLIAMS: Right. Right. I'm talking
23 diagnostic, full credential, whatever that means.

24 JAMES FUTCH: Yeah.

25 TIMOTHY WILLIAMS: A nuclear medicine

1 technologist cannot do CT, MR or mammography?

2 JAMES FUTCH: Not full diagnostic CT.

3 TIMOTHY WILLIAMS: Right. They can't do
4 attenuation.

5 So who can do MR? Anybody?

6 JAMES FUTCH: Essentially, anybody can do MR.

7 The MR license, I don't know if you remember
8 the history of going through the Legislature -- this
9 is always hard to explain, so bear with me, okay?

10 MR, of course, doesn't use any ionizing
11 radiation.

12 TIMOTHY WILLIAMS: Right.

13 JAMES FUTCH: The teeth in the statute, the
14 prohibition, the criminal penalties, the unlicensed
15 practice penalties, all the rest of that, they are
16 all tied to somebody using ionizing radiation still
17 to this day. So, yes, even though we have an MR
18 license, you could still -- and many people are --
19 trust me, I imagine there's far more than 95 people
20 out there practicing in Florida. You can still go
21 out there and do MR and really have no penalty for
22 it because of the way the law is currently written.
23 And the law is written that way because the original
24 bill did modify the definition of the word radiation
25 so that all the penalties would've applied, but

1 there was some blow back from different segments of
2 the industry out there that didn't really want that
3 and so that didn't get changed in the bill.

4 TIMOTHY WILLIAMS: So just as a side bar, for
5 the MR people, we don't care about the gadolinium
6 contrast, the IV, the safety, all that stuff. The
7 renal failure, the skin reactions.

8 JAMES FUTCH: Let me flip over to the machine
9 side of it.

10 The same statute 404 that gives us the
11 authority to go out and inspect all of your ionizing
12 machines and so forth and so on, doesn't give us any
13 authority over the MR at all.

14 TIMOTHY WILLIAMS: Yeah.

15 JAMES FUTCH: So let's bring them into the fold
16 slowly, I guess. It's a step in the right direction
17 maybe. And perhaps after I'm retired and maybe some
18 of you are still on the council, maybe you can --

19 (Laughter)

20 JAMES FUTCH: -- go to the Legislature and get
21 the law changed and you know --

22 RANDY SCHENKMAN, CHAIRPERSON: Is there a
23 reason it's never come up?

24 JAMES FUTCH: Well, years ago, in a different
25 regulatory climate, we went to the Legislature and

1 stated the case for why some of these other things
2 needed to be in there. And the answer that we got
3 from way back then was, where -- I'll just put it
4 bluntly. Where are the dead bodies?

5 CAROL BONANNO: That was before contract.

6 PATRICIA DYCUS: They take them into CT.

7 JAMES FUTCH: Wow, it's amazing what a table of
8 numbers can generate in terms of questions.

9 I would point out one more thing about this
10 table. When I first started as the head of the
11 certification program back when it was still in the
12 bureau entirely, 1998, the number of nuclear
13 medicine techs and the number of radiation therapy
14 techs was roughly equivalent. And over the years,
15 the trends that I've been seeing is nuclear med
16 techs have been increasing and therapy techs
17 actually slightly, about the same, maybe slightly
18 decreased.

19 TIMOTHY WILLIAMS: That was my next question
20 was what the trends were. The RTT number, I don't
21 think it's changed substantially in ten years.

22 JAMES FUTCH: The basic machine operator number
23 has also, started out, it was around 4,000. In the
24 4,000 range.

25 KATHY DROTAR: That was drastic. Way back

1 when, I thought that was almost half of the total
2 number of licenses.

3 GAIL CURRY: But I think that's because we
4 don't license all of those anymore. No, I'm
5 thinking podiatry.

6 JAMES FUTCH: Yeah, you're thinking the
7 subspecialty. But the full basic machine operator
8 is trending downward.

9 GAIL CURRY: It is. We don't see nearly as
10 many as we used to.

11 KATHY DROTAR: So new applications for BMO have
12 decreased and these are mostly people that are
13 renewing, do you think?

14 GAIL CURRY: Yeah. I mean, we still get some,
15 but not -- because we used to get a whole bunch.
16 The same time we would start getting your RTs and
17 GRs, we'd see an influx of basics. But we're not
18 seeing that so much anymore. We have maybe one or
19 two schools that graduate that we'll see those come
20 in that way. But we used to have a lot come in by
21 individual, just --

22 RANDY SCHENKMAN, CHAIRPERSON: Offices.

23 GAIL CURRY: -- looking at the study guide and
24 that and we're not seeing that very much anymore.

25 JAMES FUTCH: There are no -- I haven't checked

1 in a little while, but last time I checked a couple
2 years ago, there were no more public basic schools
3 at all.

4 GAIL CURRY: Oh, really?

5 JAMES FUTCH: There were some, mostly medical
6 assisting programs that do an x-ray component and
7 that's mostly who, besides the one or two schools
8 that actually, the private schools that still do the
9 basic.

10 GAIL CURRY: Yeah. There's only a couple of
11 those.

12 RANDY SCHENKMAN, CHAIRPERSON: A lot of private
13 offices don't have their own equipment anymore
14 because a lot of individuals are now becoming
15 groups, part of groups. And the group buys all the
16 x-ray equipment; and therefore, they usually have a
17 general radiographer.

18 GAIL CURRY: That's true. On staff.

19 JAMES FUTCH: Anymore questions about the
20 numbers and the trends?

21 RANDY SCHENKMAN, CHAIRPERSON: Okay. So --

22 JAMES FUTCH: In that case.

23 RANDY SCHENKMAN, CHAIRPERSON: Now Cybil, it's
24 your turn.

25 CYBIL NIELSEN: Hi.

1 JAMES FUTCH: Let's get this set up here.

2 We want to thank you for coming also, by the
3 way.

4 CYBIL NIELSEN: I want to thank you for
5 inviting me. Thank you so much. I appreciate the
6 invitation to come down and address you.

7 I am from the NMTCB and I've been on the board
8 of directors for going on eight years now. Yep. I
9 actually own one of these.

10 JAMES FUTCH: Good, if it breaks, I'm coming to
11 you.

12 CYBIL NIELSEN: I own one because I'm an
13 educator. So I do -- I run a nuclear medicine
14 technology program out of Indiana University School
15 of Medicine. And I've been doing that for the last
16 three years, but I've been an educator for about ten
17 years.

18 But again, I come to you today as a
19 representative of the NMTCB. I have been on the
20 Board of Directors for eight years now and certainly
21 appreciate you letting me come and present our new
22 NMTCB(CT) credential.

23 Our objective is that we're trying to get this
24 credential recognized and incorporated in anywhere,
25 any standard or regulation that specifically

1 addresses CT licensing. So we're talking to
2 different states. We've been working with Arizona
3 and Oregon, and now Florida. We're also talking to
4 accrediting bodies. We're talking to employers,
5 just to get this credential recognized.

6 And what I'll be doing today is just giving you
7 an overview what the NMTCB is. I'll explain a
8 little bit about the NMTCB(CT) credential, why it
9 was created and what its intended purpose is. And
10 then at the end, we should have some time to have
11 some discussion answer any questions that you might
12 have.

13 So what is the NMTCB? The NMTCB was with
14 formed in the late '70s and the purpose was to
15 create high quality certification exams specifically
16 for nuclear medicine technologists. It was formed
17 out of the Society of Nuclear Medicine. Back in
18 1977, nuclear medicine really started to become its
19 own specialty. Before then, as you know, it was
20 like a subspecialty of radiography. And in the
21 '70s, the Society of Nuclear Medicine recognized
22 that nuclear medicine is really its own specialty
23 and they wanted an exam that would reflect not just
24 the current practice, but the entire scope of
25 practice for nuclear medicine technology.

1 So the NMTCB was formed and in 1978, we gave
2 the first exam. And it was to about 650 individuals
3 nationwide that sat for that very first exam. 2015,
4 37 years later, we're still going strong. Today we
5 have five exams that we offer. We offer the
6 entry-level CNMT exam, and that exam is recognized
7 in any state that requires nuclear medicine
8 certification.

9 We also have a cardiology exam, the NCT. We
10 have a PET exam, nuclear medicine advanced
11 associate, the NMAA exam. That is like the RA,
12 except specific to nuclear medicine. And we have, I
13 believe, 13 individuals that hold that credential
14 right now. And then our newest exam is the
15 NMTCB(CT) exam.

16 Currently, we have over 23,780 active NMTCB
17 certificants and over 2,000 of those are practicing
18 right here in the State of Florida.

19 Couple statistics. Our entry-level exam, in
20 2014, we had 816 people sit for that exam. Our pass
21 rate was right just shy of 88%. This exam is what
22 we call on demand, which means once eligibility is
23 established, you can make an appointment and take
24 the exam. It's also a computer adaptive test, which
25 means that it uses an algorithm. If you get a

1 question right, it gives you a more difficult one.
2 If you get it wrong, it gives you an easier one
3 until it figures out what your score is.

4 Our two specialty exams, the PET exam. The PET
5 exam is open to radiographers as well as nuclear
6 medicine technologists. We had 212 individuals sit
7 for that exam last year. You can see the pass rate
8 is a little bit lower. The pass rate for specialty
9 exams is usually lower because most of the people
10 sitting for those exams are -- they meet eligibility
11 requirements on their own. They don't really go
12 through a program.

13 The cardiology exam, we had 81 individuals
14 sit for that exam with a pass rate about 80%. Both
15 of these exams are on demand. Again, that means
16 that once eligibility is established, you can make
17 an appointment and sit for the exam.

18 Our total numbers, for CNMT, these numbers
19 actually change daily. So we have over 23,000
20 nationally. 2,112 technologists in Florida hold the
21 CNMT credential. There are actually 31
22 technologists in Florida that hold the PET
23 credential. 44 in Florida that hold the NCT and our
24 new NMTCB credential, we have 185 of those, and 11
25 hold that credential here in the State of Florida.

1 The mission of the NMTCB is to promote quality
2 health care by certifying individuals through
3 psychometrically sound examinations to practice and
4 advance in nuclear medicine and molecular imaging.
5 So our purpose is just for the nuclear medicine
6 technologists.

7 Our vision is to be recognized as the
8 certification organization of choice for nuclear
9 medicine and molecular imaging. So when a need
10 comes up for nuclear medicine technologists, we
11 follow our mission and vision to determine how we
12 can address that.

13 One of the ways that we live by our mission is
14 we periodically do what is called a task analysis.
15 And the task analysis is a survey that looks at the
16 frequency in which technologists perform particular
17 tasks, but also the criticality. So if it's
18 something that is brand new and it's deemed
19 critical, we will give that a higher weight than
20 something that may be done more frequently. So we
21 take a look at both of those things, frequency as
22 well as criticality.

23 The last task analysis that we did was in 2013.
24 We're getting ready to do another one. We do them
25 pretty often. Especially now with our field

1 changing as rapidly as it does.

2 In the last task analysis, one of the questions
3 we asked was, who operates the CT scanner portion of
4 a high-risk scanner and we found that 77% of the
5 people responded that the CT portion was being
6 performed by a nuclear medicine technologist. And
7 so that kind of, you know, piqued our interest and,
8 you know, maybe we needed to look a little further
9 at this.

10 So when CT was first added to hybrid
11 scanners -- actually, even if you go back when PET
12 first came out, the attenuation correction was done
13 with rod sources and those rod sources, they worked
14 okay. They provided the information that we needed.
15 But they also lead to very long scan times. And
16 with longer scan times, we had motion artifacts. So
17 CT was added just for attenuation correction. In
18 the beginning, we had two slides, four slides CT and
19 it did very well.

20 However, once that was added on there, people
21 soon began to realize that we could have a much
22 higher quality CT and have fusion with these images.
23 And so attenuation correction, what we're finding is
24 to only use the CT portion for attenuation
25 correction anymore is not as common as it used to

1 be. We're finding that anatomic localization,
2 physicians really want to have a quality CT enough
3 to localize different things. And then, of course,
4 many of the PET CTs have 64 slide CT that are used
5 for diagnostic purposes.

6 And so, both the anatomic localization and the
7 diagnostic purposes, some would deem that they
8 produce diagnostic image quality exam. Even if the
9 dose is a little bit less, but again, attenuation
10 correction only is becoming much more rare.

11 And then now there are machines that can do a
12 combination of these on the same patient. So no
13 longer can we say this patient will have an
14 attenuation-only scan and this one will have an
15 anatomic localization. We're finding that a patient
16 who may have had a chest CT and they don't need
17 another diagnostic quality CT, so they may have
18 attenuation correction only for the chest and then
19 diagnostic purpose for the abdomen and pelvis. So
20 even on the same patient, you can have a combination
21 of these different things.

22 The NMTCB also looked at how the nuclear
23 medicine field has been changing over the years.
24 And in 2008, the nuclear medicine technology
25 curriculum for educational programs included CT.

1 This curriculum was written by the SNMMI but it's
2 also been endorsed by the ASRT. When you go to the
3 ASRT website, they have endorsed this curriculum.

4 In 2011, the JRCNMT, which is the accrediting
5 body for nuclear medicine technology schools, they
6 started requiring CT content. And at that same
7 time, the NMTCB added CT to the CNMT entry-level
8 exam. The ARRT did that as well. So on the RTN
9 exam, there is CT.

10 In 2012, the ASRT practice standard for nuclear
11 medicine technologists, they were updated and it now
12 includes CT in the practice standards for nuclear
13 medicine technologists. However, the practice
14 standards for radiography does not include CT.

15 The other thing that we looked at is we were
16 getting information from our certificants that some
17 of their employees are requiring them to be CT
18 certified. Accrediting bodies, which we'll talk
19 about in just a bit, were requiring CT
20 certification. Many states were requiring that they
21 become CT certified. And so in order to live up to
22 our mission and our vision, which is to provide
23 certification exams for nuclear medicine
24 technologists, we thought we didn't have a choice
25 except to offer a CT certification specifically for

1 nuclear medicine technologists.

2 Today, the CNMT and the AARTN exam both test on
3 CT. The ARRT-R exam does not include CT. And then
4 there are two exams that are currently available for
5 nuclear techs to become CT certified, they can take
6 either the ARRT-CT or the NMTCB-CT.

7 A couple questions we've been asked is what
8 does it mean to have the NMTCB(CT) credential. From
9 our point of view, having the credential
10 demonstrates CT qualification. It is an extension
11 of the nuclear medicine certification exam and it
12 tests specifically in CT.

13 And we're asked this question all the time:
14 Does this credential allow technologists to perform
15 CT? That's not up to us. That's up to states and
16 facilities to determine what qualifications they are
17 going to require for technologists to perform CT.
18 From our perspective, the CNMT entry-level test does
19 test on CT subject matter and it speaks to minimal
20 qualifications to perform CT. The NMTCB-CT
21 credential has been designed for nuclear medicine
22 technologists that wanted to concentrate their
23 practice in CT.

24 And we do anticipate the CT description to be
25 recognized in every state that requires CT

1 certification. Again, the exam has only been around
2 for six months now and Arizona and Oregon have both
3 recognized our CT credential.

4 Accrediting bodies. We have been in
5 communication with all three accrediting bodies.
6 Currently, the ACR requires ARRT-CT and we've talked to
7 them about incorporating our NMTCB credential.
8 They are on a set schedule of when they update their
9 standards and so, we've been told that they will be
10 in communication with us when that time comes and
11 we're optimistic that they're going to include our
12 credential.

13 The IAC, the wording for their standards for CT
14 is a qualified medical imaging technologist, so they
15 don't specifically name one exam over another. And
16 the Joint Commission -- in your agenda is the latest
17 draft from the Joint Commission. It is not for
18 dispersal because it is not finalized, but that came
19 out probably about maybe a month or two ago and it
20 specifically recognizes the ARRT-CT credential as
21 well as the NMTCB-CT credential.

22 So what is on our CT exam? Our CT exam, what
23 we did is we looked at what nuclear medicine
24 technologists are being taught, what they are tested
25 on and what is that gap in knowledge between what

1 they are taught and what they need to be a CT
2 technologist. So these are content specifications.
3 In your handout, in your agenda you have the longer
4 version, which gives some more subheadings under
5 each of those headings. And you'll see that
6 procedures and anatomy is quite a big portion. We
7 recognize that nuclear medicine technologists are
8 physiology imagers and many of us may lack in
9 anatomy, so we wanted to test heavily on anatomy.

10 So our CT credential specifically looks at that
11 gap in knowledge. Looks at what nuclear medicine
12 technologists already know. Where we know iterative
13 reconstruction, we know some of those things, and
14 what we don't know. We don't know KBP and MA, so
15 our CT exam is specific to meet that gap.

16 The exam, itself, has 200 multiple choice
17 questions. The questions were written by CT
18 technologists, physicists, pharmacists, physicians.
19 We also have a psychometrician that works with us on
20 all of our exams.

21 To sit for the exam, the eligibility
22 requirement, you must be a nuclear medicine
23 technologist with a current active NMTCB, ARRT or
24 the Canadian nuclear medicine certification. You
25 must have a minimum of 500 total clinical hours in

1 PET CT, SPECT CT and/or CT. And you must complete a
2 minimum of 35 didactic hours. We're going to talk
3 about number two and three in just a little bit.

4 So the clinical hours, you must obtain those
5 500 hours within three years of sitting for the
6 exam. So if you do PET CT once a month, you're not
7 going to be able to take this exam. We need those
8 500 hours concentrated.

9 Those hours may be acquired as a student in a
10 programmatically accredited nuclear medicine
11 program. It can be on one type of scanner or a
12 combination of multiple scanners. And the hours may
13 be obtained prior to being certified as a nuclear
14 medicine technologist. But you cannot sit for the
15 board until after you are a certified nuclear
16 medicine technologist.

17 Those hours can be confirmed by your program
18 director, your technical supervisor or your
19 supervising physician. So there has to be a
20 statement that is signed, that verifies the number
21 of hours that were performed and the dates that
22 those hours were done.

23 The 35 didactic hours, so these are continuing
24 education units. We pulled out four specific
25 categories and these categories, again, were chosen

1 based on the knowledge gap between nuclear medicine
2 and CT. So a minimum of four hours has to come from
3 each of these categories: Contrast administration,
4 cross-sectional anatomy, x-ray physics and CT
5 radiation safety. And then the remainder of those
6 hours must be CT-related topics.

7 To maintain the credential. You have to
8 maintain your nuclear medicine credential. But in
9 addition, you have to obtain 12 CEUs that are
10 specific to CT every two years. And this is in
11 addition to the 24 hour CEU requirements. So this
12 is pretty strict and most people don't really like
13 it, but we felt that because CT is a little bit
14 different, that we wanted our CT certificants to
15 have these specific CEUs in CT every two years. And
16 also because the field is changing so rapidly, that
17 technologists really need to be kept up on those
18 changes.

19 And then, of course, you know, there's always
20 being to be a renewal fee, so a \$30 renewal fee.

21 Our first exam was November 15th, so just about
22 six months ago. We had 102 individuals that passed
23 the exam. The pass rate was around 80%. I don't
24 have the exact number in my head. Our second exam
25 was given on April 17th. We had 83 people pass.

1 And again, 11 are from the state of Florida.

2 Our next exam is going to be given November
3 20th and we anticipate greater than 100 to sit for
4 this exam. We've had an overwhelming response to
5 this exam. And we anticipate our numbers to go up
6 greatly once people start meeting that 35 didactic
7 requirement. That's kind of what is taking so long
8 is to find those CEUs that are specific to CT.
9 Especially finding things like cross-sectional
10 anatomy, continuing education. They are having a
11 little bit of hard time doing that.

12 After this exam in November, we should have
13 adequate statistics to go on demand. So again, once
14 eligibility is established, then they can make an
15 appointment and take the exam any time they want to.

16 A couple frequently asked questions that maybe
17 some of you have right now is: What is the scope of
18 practice or practice standard if you have this
19 NMTCB-CT credential? We don't set the scope of
20 practice or practice standards as a certifying
21 organization. That's up to the professional
22 societies. So I would direct you to the ASRT
23 Practice Standards for CT technologists. Currently,
24 their practice standards specifically say ARRT-CT
25 certification. We have been in communication with

1 them and once those practice standards are up for
2 revision, we've been told that they will sit and
3 allow us to present to them the rationale for
4 including the NMTCB-CT credential. I'm pretty
5 optimistic, based on our communication, that they
6 are going to do that.

7 Another question we're asked is, why do you
8 require hours in lieu of competencies? All of our
9 post primary exams have always been hours based. So
10 the PET exam, the NCT exam, those are hours based.
11 You have to have so many clinical hours in order to
12 sit for those exams.

13 And also, we've found that you can get a
14 minimum number of competencies in a short period of
15 time. I've heard some stories about people doing a
16 trauma rotation and getting their competencies in
17 just a couple of weeks. We really felt like for a
18 nuclear medicine technologist to be skilled in CT,
19 they needed to put in those 500 hours.

20 And then another question is, can you be CT
21 certified only performing SPECT CT and/or PET CT?
22 Our rationale is that CT is CT, no matter where it
23 is done. And most CT that is done on a hybrid
24 scanner is yielding diagnostic image quality exams,
25 even if using it for attenuation correction only, so

1 I've talked to some of my colleagues that they are
2 doing the CT portion for attenuation correction
3 only, but they are using diagnostic doses. And so,
4 that was another rationale. And then we have our
5 didactic requirement as well to fill those gaps.

6 And then the last question is, is this an exam
7 for hybrid imaging or CT? This is a CT exam. It
8 does not have SPECT on it. It does not have PET on
9 it. It just has CT on it. And the exam tests for
10 minimal qualifications to perform CT.

11 And with that, I'd be happy to take any
12 questions that you have.

13 WILLIAM ATHERTON: What kind of questions would
14 a pharmacist write for a CT exam?

15 CYBIL NIELSEN: Contrast questions. Contrast
16 administration questions.

17 ALBERTO TINEO: Under 500 hours, going back to
18 those, how do we know that on those 500 hours, they
19 are well qualified to perform diagnostic exam of
20 individual areas, such as abdomen, pelvis? I
21 understand your philosophy of the 500 hours.

22 RANDY SCHENKMAN, CHAIRPERSON: How is it
23 documented?

24 ALBERTO TINEO: Yes. How is that -- because
25 I -- I'm kind of having a hang up on the 500 hours

1 more than anything else. So if you can --

2 CYBIL NIELSEN: Right. Sure. It's documented
3 by the person who signs off on them. Just like for
4 a competency-based eligibility, the person that
5 signs off on that, that is how it is documented.
6 And again, with our PET program, which is hours
7 based as well, that's the same thing is, we can't
8 know if they've done every type of exam. But they
9 have been signed off by somebody that they have
10 performed those hours.

11 And also, with any entry-level exam, there are
12 so many different types of exams that can be done
13 and you cannot insure that everybody has done every
14 single type of exam. But once you are a certified
15 tech, you are still able to do those exams. So for
16 example, red blood cell scans or red blood cell
17 studies, most technologists are not doing those, but
18 yet, under their certification, it allows them to do
19 red blood cell studies. So even on a competency
20 based, you can't insure that every type of study has
21 been done. We felt that 500 hours was enough for
22 the individual to be able to see what was needed to
23 be seen.

24 CHANTEL CORBETT: I know on the ARRT exam to
25 get in as a nuclear medicine tech or as an x-ray

1 tech for that matter, there's a list of competencies
2 that you need to do. However, you can skip a lot of
3 those competencies and still meet the criteria
4 because you can do one type five times.

5 So you can do a third of the group five times
6 and never do the rest. Because I've got
7 technologists who have sat for that exam or tried to
8 sit for that exam and they are, let's say, in an
9 oncology setting. So they have a lot of exams on
10 there that they will never do at their employer.
11 And they do full CT all the time. So it's a little
12 bit, it's a limited --

13 JAMES FUTCH: I was going to add to that, when
14 we were considering whether to accept the ARRT CT
15 exam a couple years back, we worked through this,
16 this is a couple-years-old knowledge here. But if I
17 remember right, it was seven areas, and you got to
18 pick from among the seven areas. You had to do at
19 least five. And then whatever areas you did you had
20 to do a certain number of minimums. I think the
21 total exams that I came out with was like 125.

22 KATHY DROTAR: 125.

23 CHANTEL CORBETT: Possibilities.

24 RANDY SCHENKMAN, CHAIRPERSON: But do you have
25 a number of exams that they have to do in those 500

1 hours or what types of exams, what criteria for
2 categories of types of exams and how many they have
3 to do?

4 CYBIL NIELSEN: No. Our exams are hours based.
5 The PET exam, NCT and the CT exam are all hours
6 based, so they must perform a minimum numbers of
7 hours. But we also have the didactic requirement
8 that goes with our CT exam.

9 JAMES FUTCH: I wanted to digest some of this
10 and make sure I understood it.

11 The exam is open to folks who have nuclear
12 medicine but not radiography and not radiation
13 therapy.

14 CYBIL NIELSEN: Correct.

15 JAMES FUTCH: The 500 hours could be done
16 entirely on SPECT or PET CT machine or full
17 diagnostic machines in the radiology department.

18 CYBIL NIELSEN: Correct.

19 JAMES FUTCH: And you may have said this and I
20 apologize, but is -- so the intent is to have
21 someone from the nuclear medicine background, but
22 can do full diagnostic. They can go to a radiology
23 department and they can start doing whatever kind of
24 CT you might want to do on that machine in the
25 radiology department.

1 CYBIL NIELSEN: Right. Go ahead.

2 JAMES FUTCH: The current scope of practice,
3 one of the things -- I should have mentioned this in
4 the beginning. If we were to accept an NMTCB-CT
5 and either set up a new license or use the existing
6 license, one of the key things the law requires that
7 we have is a scope of practice. And I think you
8 partially answered or maybe fully answered one of
9 the questions I had, which is that right now, as I
10 understand it, the SNMI scope of practice is just
11 the NMT scope of practice. There's not like a
12 separate scope like there is for PET, for example.

13 We had that issue when we considered adopting
14 the PET certification in the first, for NMTCB, we
15 actually somehow, I forget through some of the
16 nuclear medicine techs from Florida and from the
17 national group I think went back to Society for
18 Nuclear Medicine and asked for a PET scope by
19 itself. And that's what we ended up adopting.

20 And I don't know if you speak for all of the
21 NMTCB, we're just giving a discussion today, but if
22 we were to consider this and if the time scales for
23 Florida regulation and adoption, you're looking like
24 a year, year and a half out, unless they come up
25 with something new, in which case it would be two

1 years out. Because things move -- glacial is an
2 overstatement for how fast regulations change. But
3 would the NMTCB want us to use the existing CT
4 license and the existing scope of practice from the
5 ASRT derivation or would they want us to create a
6 separate CT license from the NMTCB pathway? Which
7 is their preference and feel free to say whatever.

8 CYBIL NIELSEN: I would prefer that the ASRT
9 scope of practice for CT technologists, I would
10 prefer going that route, because we have had
11 communications with the ASRT and we're confident
12 that they're going to incorporate an NMTCB-CT
13 into those practice standards, so that work's
14 already been done. So I think that's where I would
15 prefer the direction go instead of having an
16 entire new document done. They adopt ours; we
17 adopt theirs. We work together really well.

18 Then if I could respond on the minimal
19 qualifications to do CT, many states allow
20 radiographers to do CT exams and consider them
21 qualified. And we feel that our 35 didactic hours
22 and 500 clinical hours is at least equivalent as a
23 radiographer's qualifications to do CT. So even if
24 they've, you know, had mostly PET CT and only a few
25 weeks in CT, they've had some CT educationally, that

1 would be at least equivalent to a radiographer.

2 And for the -- you look at a nuclear medicine
3 technologist, since 2011, they are all tested on CT.
4 If they go further and get the NMTCB-CT credential,
5 now they've been tested on CT twice. And I would
6 think that being tested on twice is at least
7 equivalent to the qualifications that a radiographer
8 would have.

9 PATRICIA DYCUS: I disagree with your assertion
10 that the radiographer -- the radiographer has a
11 wealth of background for the radiation. The
12 different types of radiation as opposed to nuclear
13 medicine than your 35 didactic hours. They have the
14 anatomy and physiology. They have the positioning.

15 Now, granted, they don't have the 3D or the
16 cross-sectional anatomy. But I think that that's
17 kind of a misstatement, in my opinion. I don't know
18 how everybody else feels.

19 CYBIL NIELSEN: I think you're absolutely right
20 before 2008. Since 2008, nuclear medicine
21 technology programs have incorporated into their
22 entry-level education, x-ray physics and CT content
23 so --

24 PATRICIA DYCUS: My statement wasn't what
25 nuclear medicine didn't know, it was more what

1 radiographers do know.

2 CYBIL NIELSEN: Right. I'm not saying that
3 radiographers don't know. No, I'm arguing that they
4 do know. Absolutely. I'm just saying that after
5 2008, the curriculum has changed for nuclear
6 medicine technologists to incorporate CT content.

7 RANDY SCHENKMAN, CHAIRPERSON: When is the ACR
8 going to address this?

9 CYBIL NIELSEN: That's a great question. We
10 have been in communication with them for the last
11 year. And they've had some turn over in who's
12 responsible for the CT standards through the ACR, so
13 it's kind of been pushed back several times. But
14 we're staying in constant communication with them.
15 And once they address it, we're going to ask that
16 they recognize this as well.

17 CHANTEL CORBETT: The issue currently with
18 nuclear medicine technologists wanting to do CT in
19 Florida, is that a lot of them technically, could
20 meet their criteria. However, to meet the criteria
21 on the exam basis that the ARRT requires, it
22 technically requires you to push the CT button.
23 Which in Florida, as a nuke med tech, you're not
24 allowed to do for a diagnostic setting. So you
25 could technically do everything else under your

1 scope of practice as a nuclear medicine technologist
2 except physically touch the button and meet all the
3 exam criteria and be able to sit for the ARRT CT.
4 But in Florida, you're not allowed to touch the
5 button for diagnostic study. So you can't legally
6 sit for that exam as a nuclear medicine technologist
7 in Florida unless you go back to a formal school
8 that's approved.

9 So we've had to have, some technologists have
10 even gone as far as to go out of state and work with
11 another hospital to get their competencies because
12 they are allowed to touch the CT button, you know,
13 out of state and come back and get those criteria
14 done. But there's a lot of -- you know, they can
15 meet all the other criteria. They've been in a PET
16 CT environment for years. You know, they can get
17 all of those exams. But the technicality of
18 literally the finger to the button here in Florida,
19 prevents them from sitting for that exam currently.

20 RANDY SCHENKMAN, CHAIRPERSON: Even under
21 supervision?

22 CHANTEL CORBETT: Correct. Yes. Unless
23 they're under a school program.

24 JAMES FUTCH: Let me speak to that issue.
25 We've touched on these a number of times.

1 All of these are post primary. When you run
2 into a post primary exam that's been taken by
3 somebody who is -- not an exam, but prerequisite
4 procedures, that's being done by someone from a
5 different primary area, for example, the general
6 radiographer who wants to meet the PET certification
7 entrance exam requirements or the nuclear medicine
8 tech who wants to meet the CT exam requirements.
9 That's when you run into the problem because you're
10 doing something in a post primary that's outside of
11 your primary area.

12 And in Florida, as in many states, you've got a
13 prohibition on taking, practicing, technology,
14 nuclear medicine, unless you're licensed to do so
15 and the only way around that is the student
16 exception. That's, by the way, the same law that
17 prevents people from doing unlicensed practice for
18 whatever reason they want to do it.

19 So in order to qualify, Chantel is right. In
20 order to qualify for the minimum number of
21 procedures, in Florida, you would have to do it
22 underneath the auspices of the student exception,
23 which some of the programs have adapted and catered
24 to that market, like Valencia, for example, in
25 Orlando.

1 However, my question to Chantel would be, how
2 would it be any different for the person trying to
3 qualify for the 500 hours?

4 CHANTEL CORBETT: What do you mean?

5 JAMES FUTCH: Well, I'm assuming we're still
6 talking about someone actually performing the exams.
7 Whether it be for ARRT purposes or for NMTCB's
8 purposes.

9 CHANTEL CORBETT: Well, in Florida, you can do
10 an exam as a portion of a preset protocol if you're
11 not editing that protocol. If it's part of the PET
12 exam, even if it's a diagnostic CT, as long as it's
13 a preset is our understanding.

14 JAMES FUTCH: I think the same prohibition
15 would prevent someone from getting the prerequisite
16 500 hours for NMTCB's exam purposes or for the 125
17 exams for ARRT's purposes. Either way, you're
18 saying I've done these number of exams or I've done
19 this number of hours of these exams. And if you're
20 doing it outside of your primary area as a nuclear
21 medicine tech would, you still got the same problem
22 with the Florida law either way.

23 CHANTEL CORBETT: And I guess if it would
24 depend on if you're considering the attenuation
25 correction portion of the CT or if it needs to be --

1 because the only difference technically is the --

2 JAMES FUTCH: You're right in the sense that,
3 the way I read it -- I'm not sure if it's the way
4 NMTCB intends it -- but the way I read it, you could
5 be a nuclear medicine tech and because of the way
6 the scope was changed many years ago, to perform
7 that portion of the CT for attenuation and the rest
8 of it, you could run a PET CT machine all day long
9 for 500 hours, however many days that would take,
10 and perform a whole bunch of nuclear medicine
11 procedures in that fashion, and still do that within
12 your license and qualify for the NMTCBs 500 hours.
13 That part of it you're right about.

14 CHANTEL CORBETT: That's the only way.

15 JAMES FUTCH: My follow-up question to that
16 would be, I'm still -- I'm a physicist by degree and
17 practice, not a technologist, so I haven't been out
18 there. I'm still trying to wrap my head around how
19 someone does 500 hours as an nuclear medicine tech
20 on a PET CT machine doing nuclear medicine
21 procedures that, yes, use CT as an adjunct to the
22 procedure and then sits for the test. And as you
23 say, I'm sure the test is very extensive, passes
24 that and then goes to work in radiology doing full
25 CT of that type. And that's -- I understand that's

1 the intention, right?

2 CYBIL NIELSEN: Yes, it is. Yes.

3 I have a follow-up question also with the, you
4 know, physically, pushing the button of how do you
5 get around it with the PET exam? Because I believe
6 you do recognize the PET exam. So radiographers --

7 JAMES FUTCH: They have to do it underneath the
8 auspices of a nuclear medicine program. There are
9 far fewer of those, so it is a bigger problem for
10 those folks, right.

11 CYBIL NIELSEN: I see.

12 JAMES FUTCH: The radiography programs, the
13 total number of programs in Florida is something
14 like 70 plus. Kathy could probably tell us the
15 exact number. Most of those are radiography.
16 That's the biggest area.

17 A lot of the radiography programs have seen the
18 nuclear medicine market and have started to adapt,
19 so that they are doing essentially CT programs to
20 qualify the person for the ARRT exam. And that's
21 why.

22 CHANTEL CORBETT: Yeah. I think that in
23 nuclear medicine versus x-ray school, as you said,
24 x-ray technologists get all this positioning. But
25 that not necessarily comes into play on CT as much

1 as it does for plain, you know, plain radiography.
2 Whereas nuclear medicine has almost strictly always
3 gotten cross-sectional anatomy for the SPECT. So
4 that's always been a portion of ours, which comes
5 more into play in the CT realm. So I think that
6 that's part of just the differences. So I would
7 never want to walk in and do basic, you know,
8 general x-ray. Because, like you said, positioning
9 is key and there are so many more things to learn.

10 PATRICIA DYCUS: Also, I was speaking to the
11 radiation protection and the different types of
12 radiation.

13 CHANTEL CORBETT: I think radiation protection
14 is, there's probably equal amounts of education on
15 both sides but not crossing into either. So x-ray
16 coming in and nuclear medicine, it's a whole
17 different world in x-ray protection and vice versa.
18 So I think that either party could be educated to
19 cross. So I think that x-ray technologists could be
20 educated well into coming into the radiation
21 protection on nuclear medicine and vice versa.

22 PATRICIA DYCUS: I think we can all agree that
23 with the proper educators, both -- anybody can be
24 educated to do whatever it is they do. My concern
25 is that we're muddying the water on what

1 qualifications mean. As an employer, it's going to
2 become more and more difficult to find out who
3 you're really hiring.

4 CHANTEL CORBETT: Well, if the credentialing
5 bodies come down and say you're going to have to
6 have a CT license to do CT, it's going to be up to
7 the individual states or governing bodies as to who
8 can sit for that license.

9 RANDY SCHENKMAN, CHAIRPERSON: Tim, you wanted
10 to say something?

11 TIMOTHY WILLIAMS: I have two questions. One,
12 is it possible to meet the qualifications for
13 eligibility for this exam and never do a standalone
14 CT scan?

15 CYBIL NIELSEN: We're not prescriptive on that.
16 We just want 500 total hours. Logistically, I think
17 that would be rare because --

18 TIMOTHY WILLIAMS: It's possible.

19 CYBIL NIELSEN: It is possible, yes.

20 TIMOTHY WILLIAMS: It's possible you can go
21 through the entire eligibility program and never do
22 a CT scan.

23 Is it possible for an ARRT radiographer to go
24 through their program, get an R certification, and
25 never do a CT scan and do CT scans?

1 CHANTEL CORBETT: Yes.

2 JAMES FUTCH: R certification or CT
3 certification?

4 TIMOTHY WILLIAMS: R. In this state you can be
5 an R and do CT scans. Can you get an R and never do
6 a CT scan?

7 CHANTEL CORBETT: Yes.

8 TIMOTHY WILLIAMS: So you can be an ARRT-R and
9 never do a CT scan and start doing CT scans?

10 CHANTEL CORBETT: Correct.

11 TIMOTHY WILLIAMS: Yet we're saying you can --
12 and so in theory, you can do the same thing with
13 NMTCB. Never do a CT scan and do a CT -- and do a
14 CT scan if we allow this?

15 JAMES FUTCH: Tim, I guess the difference would
16 be --

17 TIMOTHY WILLIAMS: What's the difference?

18 JAMES FUTCH: The difference would be what's
19 desired is a license in CT. Not a license in
20 radiography. So you're saying this person has
21 achieved the higher post -- whatever criteria the
22 two agencies come up with, this person has achieved
23 that extra specialty in this post-primary specific
24 area. That's one difference that I see.

25 The other difference is, you know, if you look

1 at the history how we came to this place,
2 radiography has been here for far, far longer. It's
3 one of the three primary areas. And certification
4 in Florida started in '78. Of course, it was the
5 first thing.

6 Part of the reasoning, the situation you
7 described not necessarily desirable, that you can
8 have a radiography certification and do CT
9 necessarily. It's an artifact of the licensure and
10 the history from when it came to be. Because back
11 then, it's like, okay, you can do x-ray. You can do
12 any kind of x-ray. We didn't deal with separate
13 licenses. Well, we shouldn't call it license.
14 Separate federal requirements for mammography, for
15 example, either back then.

16 CHANTEL CORBETT: But basically, what he's
17 saying is, there's no educational difference. Like,
18 you can go to either route, and never physically do
19 a CT. But yet one's allowed currently and one's not
20 allowed.

21 JAMES FUTCH: When those federal -- I'm sorry.
22 When those reimbursement authorities or those
23 certification bodies start looking, as Chantel said,
24 for a CT license, the radiographer is going to be
25 out of luck --

1 KATHY DROTAR: If I could.

2 JAMES FUTCH: -- whereas the CT folks are not.

3 KATHY DROTAR: The programs, most programs have
4 sectional anatomy and radiography. The students
5 also are given coursework in advanced modalities,
6 which include CT, which includes some of the basic
7 operations. And they also do either observations or
8 actually go into the suite and do scans.

9 So while it's possible to not have done it, the
10 probability is that in a lot of programs, our
11 radiography programs, that students have actually
12 performed CTs. Because they can also use that any
13 scans or any time done in CT towards this
14 post-primary CT licensure or certification.

15 CHANTEL CORBETT: Right. And we did
16 multi-modality education in nuclear medicine in my
17 school way back in 2000. So we've always had
18 cross-modality education in our programs as well.

19 KATHY DROTAR: Yeah, so that does occur. So
20 it's not that the radiographer when they graduate
21 and are licensed as a general radiographer in
22 Florida, don't have that basic background in order
23 to do it. Because they are the ones, they go out
24 and they actually get those jobs because they have
25 proven themselves to be competent in doing CT.

1 And also that, just going back for the
2 curriculum, ARRT, and I think it was in 2010 or 12,
3 was going to put CT as an optional competency in the
4 exam, but it wasn't yet in the ASRT curriculum. And
5 so when they redid the ASRT radiography curriculum,
6 it was put in, to introduce it, it was put in as an
7 elective. But most programs incorporated some type
8 of anatomy and physiology, pathology,
9 cross-sectional anatomy so it would be worked into.
10 And then we'll see what -- probably when the next, I
11 think radiography comes up in the next two years
12 for --

13 JAMES FUTCH: Reevaluation.

14 KATHY DROTAR: -- reevaluation. We may see all
15 that change.

16 RANDY SCHENKMAN, CHAIRPERSON: Tim?

17 TIMOTHY WILLIAMS: I mean, I understand all
18 that. I mean, the CT scans, chest x-ray, are 21st
19 century. I never order plain films anymore. I
20 can't remember the last time I ordered a plain film
21 actually. I always order, you know, CT scans.

22 But I'm not sure you can -- as of today's
23 conversation, I'm not sure that you can make a
24 recommendation based on speculation of what we think
25 is going to happen in two years from now. I mean,

1 the vast majority of people that are doing CT scans
2 are ours. I mean, 90% of them, based on the numbers
3 that I saw up on the board there; is that correct?
4 More or less?

5 KATHY DROTAR: I think so.

6 MARK SEDDON: I think that's just the licensed
7 folks, though. That's not the people that are ARRT
8 CT certified. because I think it might be --

9 GAIL CURRY: He is saying they are.

10 CHANTEL CORBETT: No, no, he's saying regular
11 general.

12 JAMES FUTCH: There are more general
13 radiographers.

14 TIMOTHY WILLIAMS: Right. So you could be an
15 R. Never do a CT scan, get your R and start doing
16 CT scans the next day. The hospital can employ you,
17 you can be doing CT scans, right?

18 CHANTEL CORBETT: Right.

19 CAROL BONANNO: They wouldn't, would they?

20 CHANTEL CORBETT: That's a normal practice.

21 TIMOTHY WILLIAMS: I'm not saying what they
22 can. I'm saying what they should do. The
23 regulations say you can be an R and start doing CT
24 scans. And I'm sure a lot of them are. I mean, I
25 don't know what the transition is in a lot of

1 hospitals, but a lot of them are fairly thin from
2 the standpoint of manpower.

3 Let me finish. You'll be happy.

4 So it just seems to me that if you can be an R
5 and we don't really have a policy or a position or a
6 statement about whether they should transition into
7 CT with the credential or without the credential.
8 We've never really, that I know, you know, had a
9 statement about that. And now you can be an NMTCB
10 and you can get 500 hours of whatever, probably a
11 lot of them, I speculate, would just be sitting
12 at a CT PET scanner all day doing CT PET scans and
13 then logging that off as time, until they get their
14 500 hours. And start doing CTs. And they get some
15 training. And then start doing CTs.

16 To be honest with you, I'm not sure there's
17 that much of a difference in them, because at least
18 if you look at the minimum way to sneak through the
19 system, if you want to do a CT scan, you can go
20 either way. Never do a CT scan by the time the
21 state credentials you, and start doing CT scans; is
22 that correct?

23 PATRICIA DYCUS: Well, the state doesn't
24 credential you do an CT, but you're an R, so yes,
25 technically.

1 TIMOTHY WILLIAMS: So is there a difference?

2 PATRICIA DYCUS: I think I didn't make myself
3 clear. I think that the nuclear medicine techs are
4 going to be well qualified to do it. I think my
5 question is, is why don't you let the ARRT license
6 them? I mean, or certify them?

7 JAMES FUTCH: That was actually another
8 question I wanted to ask Cybil was, from the
9 numbers you saw with Gail, we've got 193, 198
10 currently licensed CT techs, let's just call it by
11 the ARRT pathway.

12 When we looked at the numbers of ARRT qualified
13 CT techs in Florida a few years, I don't know how
14 many numbers, but there was scads and scads of them
15 in the State of Florida. It's a technical term. I
16 want to say many hundred. I don't want to say a
17 thousand. It was between many hundreds and a
18 thousand. It was a lot of them. So it's no -- it's
19 no wonder that there's, you know, 193. I would've
20 thought there would've been even more.

21 You graduated so far, roughly, five new CT
22 techs by the NMTCB pathway every time you do an
23 exam, give or take. So by the time you finish the
24 next exam, probably about 15 in Florida, maybe 16.

25 As a regulatory agency, our supervisors and

1 supervisors, folks farther up the chain, kind of
2 look askance at us every time we want to add a
3 license that has, like, five people in it or nobody
4 in it so far, like the PET license that we just put
5 in place, was it last year?

6 KATHY DROTAR: Last year.

7 JAMES FUTCH: Now -- I don't want to keep
8 anybody from practicing what they want to practice.
9 What I'm wondering is, what I suspect is, knowing
10 the history how these things came to be, an awful
11 lot of those 193, whatever, are probably nuclear
12 medicine techs who went the pathway of ARRT. So
13 there wasn't really complete blockage on getting
14 nuclear medicine techs. We were getting this whole
15 law passed in -- remember, we tried for what, four
16 years, I think, maybe more with the Legislature to
17 get to the ability where we could issue specialty
18 licenses.

19 And I'll tell you this is the guy who was on
20 the phone with many of them, the main reason that we
21 kept doing that was because we were getting pounded
22 by nuclear medicine techs who wanted to -- who had
23 taken the ARRT CT exam and had the license in their
24 hand and they couldn't practice full diagnostic CT
25 in Florida because we didn't have a license type to

1 give them. That was the main reason and that was
2 the pressure. So I view it as that was the escape
3 valve and a lot of those folks got satisfied at that
4 point.

5 So my question to you Cybil is, how many of
6 those NMTCB-CT certified folks do you think are
7 certified by ARRT and are you ever going to see
8 appreciable numbers? I think that's two questions.

9 CYBIL NIELSEN: Well, we had quite a few. I
10 mean, I don't have the numbers in front of me. I
11 would probably estimate like 70% did not hold their
12 CT credential when they sat for -- there was a
13 handful. I would guess anywhere between 20 and
14 40% had the CT credential for that first round.

15 How many do we anticipate? Most -- I can't say
16 most because I don't have actual numbers, but I do
17 serve on the education committee for the Society of
18 Nuclear Medicine and I'm in communication with
19 educators around the country. And many programs are
20 changing their curriculum to incorporate the minimum
21 number of hours in CT in order to be eligible to
22 take the CT exam. So most of -- I can't say most
23 again -- many of the programs that I've talked to,
24 including my own program, all of their graduates
25 will be sitting for the nuclear medicine exam, and

1 then followed by the CT exam.

2 If I could look in my crystal ball, I would say
3 maybe we'll get 4, 500 a year. I don't know.

4 Because right now, we're certifying anywhere between
5 800 and 900 nuclear medicine technologists. And
6 with the curricular changes, I think many of those
7 new graduates are going to be sitting for a CT exam.

8 Many of the programs in the State of Florida,
9 the one in Jacksonville, the one in Orlando, I'm
10 good friends with their program directors, and they
11 have changed their curriculum to incorporate the CT
12 competencies to sit for the ARRT CT exams. So this
13 provides another choice for those technologists.

14 You also asked me why would the NMTCB make this
15 when the ARRT already has this pathway. Our mission
16 is to provide certification exams for nuclear
17 medicine technologists. And many people want to
18 have their certifications with one organization and
19 so, we needed to provide that option for them.

20 CHANTEL CORBETT: I think a lot of the
21 technologists in Florida don't necessarily have both
22 certifications. So when they came out of school,
23 they either went with ARRT or NMTCB. A lot of them
24 didn't go with both. If you only went to NMTCB,
25 there's no route to take the ARRT for those

1 technologists. And if you only went with ARRT,
2 obviously, in Florida, unless you go back to school,
3 then you can't sit for the ARRT either. So you're
4 kind of caught. And I think that you're not going
5 to see the numbers increase on the exam from the
6 existing nuke med body, unless there's a way to give
7 some type of exemption for them to get the clinical
8 in Florida without going back to school. Because
9 there's so many of the technologists -- and I don't
10 think the primary goal for most of the nuke med
11 techs in Florida, is to do diagnostic CT. Like to
12 go into an ER and sit there and do regular CT. The
13 majority of the nuke med techs in Florida want to
14 get this so that they are not being pushed out of
15 their jobs and getting cut to part time because they
16 are in an oncology place that does both PET and CT.
17 And they can't push the CT button right now.

18 So if they were dual certified, fully, and as a
19 CT license and a nuclear medicine tech license, then
20 they can do a full CT, and then squeeze in a
21 diagnostic maybe every once in a while on that
22 oncology PET CT unit. But the majority of those
23 guys are not looking to go to an ER and just do
24 plain CT. It really is, the push is really for the
25 PET CT aspect of this. And as consultants, I can't

1 suggest to them to go sit for anything right now,
2 because legally, they can't do ARRT competencies.
3 And now, if the State of Florida is not going to
4 recognize NMTCB, I can't tell them to waste their
5 money and do that either, because it's time and
6 money out of their pockets and their schedules for
7 something that's not going to be recognized.

8 So until there's one or the other, I don't see
9 an increase in numbers just because they're not
10 going to have an end game. There's nothing -- they
11 could go do the work, but there's nothing at the end
12 for them.

13 RANDY SCHENKMAN, CHAIRPERSON: Okay. Anybody
14 else have any other comments?

15 (No Response)

16 RANDY SCHENKMAN, CHAIRPERSON: We appreciate
17 your being here. Thank you.

18 CYBIL NIELSEN: Thank you.

19 RANDY SCHENKMAN, CHAIRPERSON: And I think it
20 was a very good discussion. I think everybody has a
21 different, probably a little different view than we
22 started out with, but I don't think we're going to
23 come to a conclusion right now.

24 JAMES FUTCH: I think one of the important
25 things will be -- when did you say you expected

1 decision by ASRT on the scope, including people from
2 the NMTCB pathway.

3 CYBIL NIELSEN: They are on a schedule of when
4 they -- and I'm not sure if it's in the next year or
5 two years. But they have -- we've had very
6 favorable communication with ASRT.

7 JAMES FUTCH: I think it would be an important
8 development because one of the key things, I
9 mentioned this before that we've got to have if we
10 decide to adopt an NMTCB(CT), we've got to have a
11 scope of practice. And if they were to do that, it
12 would be very simple for us because we have the
13 same scope of practice. I'm all for not creating
14 the same license with two different scopes of
15 practice, slightly different that you can, you know,
16 lawyers and attorneys can have fun with playing
17 those off against one another one day.

18 But I do appreciate you all coming and this has
19 been a very, very valuable discussion. And I think
20 we're going to chew on this, seems like for a little
21 bit, and come back to it probably. And maybe there
22 will be some different facts at that point.

23 CYBIL NIELSEN: Okay.

24 RANDY SCHENKMAN, CHAIRPERSON: Thank you.

25 CYBIL NIELSEN: You're welcome.

1 KATHY DROTAR: Thank you.

2 CYBIL NIELSEN: Do you need me for anymore of
3 the meeting?

4 JAMES FUTCH: You're welcome to stay if you
5 want, but --

6 CYBIL NIELSEN: Okay. Thank you so much. I
7 appreciate it.

8 BRENDA ANDREWS: Do you want to take a break
9 now.

10 RANDY SCHENKMAN, CHAIRPERSON: Okay. We're
11 going to take a break and then we will continue on.
12 Just five minutes. A mini break.

13 (Proceedings recessed at 11:37 a.m.)

14 (Proceedings resumed at 11:43 a.m.)

15 RANDY SCHENKMAN, CHAIRPERSON: Okay. We're
16 giving the floor to Yvette.

17 YVETTE FORREST: We'll switch switching gears a
18 little bit.

19 At the very back of our book, Miss Brenda was
20 kind enough to add in Section B, there's a
21 supplement to go along with this little
22 presentation. It gives a brief summary of the
23 events that we're going to review. It gives each
24 event a little bit better detail because the slides
25 are very brief.

1 JAMES FUTCH: Can I do one thing?

2 YVETTE FORREST: Yes.

3 JAMES FUTCH: I wanted to mention that we have
4 kind of been looking at all of our different
5 programs in the Bureau and decided to bring some
6 more of the more relevant, current type stuff. So
7 Yvette graciously agreed to come and give this talk.

8 YVETTE FORREST: He basically threatened to
9 beat me if I didn't. So that's gracious.

10 (Laughter)

11 TIM DUNN: I called it roped in in my talk.

12 YVETTE FORREST: I was beaten. He was roped
13 in.

14 JAMES FUTCH: One of the reasons that I wanted
15 to do this was, we recently hired Giles Toole as
16 head of our enforcement investigation section. And
17 one of Giles' jobs is to accompany Yvette and her
18 crew when a medical event happens in a therapy
19 facility. When I hired Giles, he asked me, well,
20 how often do I go out and do this? Which means
21 flying to other parts of Florida. I said, oh, we
22 get a couple, two, three of those in a year. He was
23 on the job about a month and he's done, like, six I
24 think, so --

25 GILES TOOLE: Bad luck.

1 YVETTE FORREST: It's James' fault.

2 JAMES FUTCH: I should have been -- maybe I
3 thought it's time to talk about medical errors in
4 therapy again. So thank you, Yvette. Please
5 proceed.

6 YVETTE FORREST: In fact, he said, I just hired
7 Giles and I said, it's been really quiet coming out
8 of your office. Because that's all we do. Clearly
9 I do nothing else but eat bonbons and wait for
10 these. I do nothing else. Nothing else. He said,
11 I haven't seen come across your radar. Literally
12 the next day, we got two within 48 hours. I called
13 James and said, shut up. Don't ever call me, don't
14 send me another e-mail.

15 JAMES FUTCH: It hasn't stopped yet.

16 YVETTE FORREST: And it hadn't stopped. So
17 right now we have quite a few of them that are open.

18 Anyway, as James was saying, Giles and Amy
19 Carlson, some of you remembered Amy from other
20 events and other meetings. She's the lead
21 investigator out of my office and she's not here
22 with us today, but you'll see her name on the
23 slides, but she's also the other member of our team
24 that goes out in the field with us.

25 The Joint Commission gives us a brief

1 definition. They are -- I'll let you read that,
2 then I'll move on.

3 Facilities delivering radiation therapy are
4 required to report medical events to us. And they
5 defined a few brief things here. Dose delivered by
6 wrong mode of treatment, wrong treatment, or wrong
7 treatment site. Or dose of radiation that differs
8 greater than a total dose of 30% of prescribed dose
9 in a week or 20% of total prescribed dose.

10 Those are the definitions which constitute a
11 medical event. And what we find is it's the good
12 facilities that self-report.

13 Facilities are required to report medical
14 events within 24 hours of determination whenever
15 that's made at their facility. They report that to
16 our office and we are, as you know, located in
17 Orange Park. We're one of the few that are outside
18 of Tallahassee.

19 Currently, thanks to James, this is what we're
20 dealing with: We have eleven medical events that
21 we're investigating and that's what we're going to
22 review here today. There's a breakdown.

23 Seven were delivered to the wrong body part or
24 field. One was delivered the wrong treatment.
25 Three were actually delivered, believe it or not, to

1 the wrong patient. We still are dealing with that
2 this day and time.

3 BRENDA ANDREWS: That's scary.

4 YVETTE FORREST: Yep. Got a little trigger
5 happy there. That's going to happen.

6 In Section B, you'll have a larger breakdown.
7 But first event was wrong brain, wrong treatment.
8 Prescribed treatment was 3750 cGy in 15 fractions of
9 250 cGy using IMRT. This was actually delivered
10 dose equaled to 10 fractions of 375 cGy. The error
11 was discovered after the seventh fraction.

12 We find this is typically what happens in
13 medical events. They will be in the course of a
14 treatment. It's typically not the first fraction
15 but it's somewhere along the treatment plan where
16 the error occurs. This one was done on the seventh
17 fraction and the treatment was reviewed and approved
18 by the dosimetrist, the oncologist, the physicist
19 and the therapist before the delivery.

20 The corrective action: A new policy regarding
21 verification of physician prescription.

22 The effect on the patient: The administered
23 dose was evaluated and treatment was modified to
24 give biologically equivalent dose over the
25 originally planned treatment of duration.

1 Event number two, we'll go over the summary
2 together. A 91-year-old man received a single
3 treatment to the right trigeminal neuropathy for
4 whom the left side was intended. The treating and
5 referring physician both planned and approved a
6 prescription for the incorrect right side. The
7 radiation oncologist and the neurosurgeon reviewed
8 the patient's images and testified an approximate
9 five millimeter segment of the right trigeminal
10 nerve for palliative treatment with 60 Gy of gamma
11 radiation utilizing CyberKnife. The treatment plan
12 was developed and approved based on this
13 prescription. The treatment was delivered as
14 prescribed without incident.

15 The treating and referring physician both
16 planned and approved the prescription for the
17 incorrect side. That was the root cause of this.

18 The reason I pulled this one out is if you jump
19 down to the very bottom of this summary, this one
20 was actually discovered a year and a half after the
21 incident. It was still reported as it should have
22 been.

23 The corrective action: The facility has
24 implemented new quality assurance checklist for both
25 patients which verifies lateral -- laterality

1 against the neurosurgery. I can't talk this
2 morning. History and -- I'm not going to be able to
3 say that word either. This step is signed by the
4 neurosurgery attending and the radiation oncology
5 attending physician.

6 Event three, left posterior arm, wrong
7 treatment site. Prescribed treatment, 19.8 Gy in 11
8 fractions of 1.8 Gy each using EBR. Prescription
9 did not clearly identify the anatomic location of
10 the treatment site. This prescription was poorly
11 written. The treatment site was -- and the
12 treatment site outline had washed off. The
13 therapist used an old treatment set-up photo and the
14 third fraction delivered to the previous treatment
15 site. All of these things combined led to this
16 misadministration.

17 The corrective action: The anatomic site names
18 repeated in the prescriptions only for retreatment.
19 The corrective actions: This facility is
20 implementing a quality assurance processes which
21 includes a dosimetry pass. This pass would verify
22 the prescription site by source documents such as
23 pathology, radiology reports, surgical notes, et
24 cetera. In addition, a duplicate site description
25 from the previous prescription will only be used for

1 retreatment of the previously treated site. And the
2 intention -- if the intention is to treat an
3 adjacent area to the previous site, the therapist
4 will verify the specific site description. For
5 clinical electron set-ups, special attention
6 should be paid to set-up photos as they will be the
7 primary set-up image.

8 Event four. Right breast, wrong treatment
9 site. Prescribed treatment, total 6640 cGy. You
10 can see the spot function button was disengaged
11 displaying inaccurate values. Therapist, physicist
12 and oncologist attribute increased separation
13 measurements to variation in patient thickness and
14 positioning.

15 Because I've done so well with the clicker, now
16 you're adding even more technology? I'm not reading
17 clearly today and you're handing me more. This is
18 not a good thing for me.

19 A 50-year-old female was receiving
20 post-lumpectomy image guiding radiation therapy,
21 IGRT for ductal carcinoma of the right breast. The
22 total prescribed dose for this treatment was 6640
23 cGy including 5040 cGy in external beam radiation,
24 EBR, following a boost portion of 1600 cGy. The
25 boost portion was to be delivered using AccuBoost

1 high-dose rate, HGR, Brachytherapy afterdose
2 loader technique in eight fractions.

3 After the third fraction, the spot
4 functionality button on the display panel was
5 unintentionally disengaged and that was key in this
6 particular event. That the button could actually be
7 disengaged during the treatment.

8 Misrepresenting the actual patient separation.
9 This resulted in five incorrect treatment times and
10 doses following the IBRT. The spot function button
11 disengaged displayed inaccurate values. The
12 therapist, physicist and oncologist attributed
13 increased separation measurements and various -- in
14 variations in patient thickness and positioning.
15 Even though the patient had varying stages and
16 thicknesses, because of the weekend and because of
17 the swelling, the therapist did not pick it up and
18 they passed it off as it was actually part of the
19 patient and that's what led to it going for five
20 fractions in treatment. And it wasn't caught even
21 though there was clearly a variation in thickness.

22 The physicist did not verify the plate
23 separation measurements prior to continuing the
24 treatment.

25 Corrective action. The facility has

1 implemented a quality assurance process for the
2 patients with AccuBoost procedures. On the first
3 date of the treatment for each patient, a set-up
4 photo and two plane positions, CC and ML, will be
5 taken with a ruler placed against the plates to
6 document the actual separation.

7 And the reason that took a moment to explain
8 that about the patient's thickness, how sometimes
9 patients have swelling and that's kind of a reason
10 that you would notice a separation, is even though
11 technology has advanced and we rely on our machines
12 and they do a lot of thinking for us, the simple fix
13 with this was a ruler. And that's what we've done.
14 That was one with of the corrective measures is here
15 we have this modern piece of equipment that does all
16 these wonderful things for us, and they actually --
17 one of the corrective measures was to actually tape
18 a ruler to the side of the machine. And that's
19 about as old school as you can get and that's a very
20 valid corrective action.

21 Measurements will be taken and recorded on each
22 treatment day for each patient to compare the actual
23 plate separation with the reading displayed by the
24 AccuBoost unit. The recording will be recorded and
25 signed off by the physicist and physician. If

1 there's more than one centimeter variation in the
2 readings from the previous day's treatment,
3 therapists will notified the physicist to validate
4 the set up before proceeding with the calculations.

5 Effect on the patient. Another interesting
6 side note with this particular medical event is the
7 manufacturer has been notified so that this
8 disengagement button cannot be disengaged without
9 the therapist being notified. Because up until that
10 point, none of the staff members knew that this
11 button could actually be disengaged throughout the
12 treatment. So sometimes, an unintended consequence
13 of a medical event is you learn things about your
14 equipment that you weren't even aware of. And so,
15 that's another benefit of the medical event
16 investigations. It helps all of us.

17 Event number four -- number five, excuse me.
18 Posterior fifth rib, wrong treatment site.
19 Prescribed treatment, total 3750 cGy in 15 fractions
20 of 250 cGy daily using IGRT. Delivered dose was one
21 fraction of 250 cGy to one half target volume and
22 four centimeters inferior to the intended site.
23 Four therapists on five days used incorrect tattoos
24 as reference point for target -- for treatment
25 target. Oncologist approved IGRT imaging which did

1 not indicate tattoo positioning. Therapists failed
2 to document or communicate to other therapists the
3 large couch shifts to adjust after IGRT imaging.
4 Ribs appeared aligned in IGRT imaging due to
5 magnification, hiding adjacent peripheral anatomy.

6 On treatments seven through ten, different
7 therapists set up to a tattoo from previous
8 treatment. After IGRT imaging requiring a couch
9 position shift, four centimeters superiorly and two
10 centimeters laterally to adjust.

11 Now, before we even continue, I see a lot of
12 you shaking your heads already. You can see a lot
13 already going with this event. We have a lot of
14 therapists involved and there's not a lot of
15 communication already.

16 On treatment 11, original therapist returns,
17 also uses incorrect tattoos. IGRT imaging and
18 applies only a two centimeter lateral shift. We had
19 a lot of shifts; not a lot of communication.

20 Corrective actions. Therapists applying shifts
21 greater than one centimeter require approval from a
22 physician, physicist or dosimetrist. They are now
23 following ASTRO guidelines. A triple point tattoo
24 is applied when new tattoo is within 15 centimeters
25 of prior tattoo. IGRT matching is verified is

1 matched by second therapist, physician, physicist or
2 dosimetrist. Set-up notes are updated with
3 parameter changes.

4 Left lower leg. Wrong treatment site.
5 Prescribed treatment, 5,500 cGy of EBR in 22
6 fractions of 250 cGy. Delivered one fraction of 250
7 cGy to an area three centimeters anterior to
8 intended site on left leg.

9 Patient had multiple lesions on the same body
10 part. Set-up photos were taken at a distance and
11 angles inadequately demonstrating landmark
12 structures and adjacent treatment site. This is
13 something we commonly see when we have a
14 misadministration is that the typical set-up photos
15 were taken and they were not taken to show anatomy
16 that when you look at the set-up photos, they don't
17 show either a knee -- if it's a lower leg, they
18 don't show a knee or an ankle, so you can't get any
19 anatomy to see where you're at on the photo.

20 The Vacloc immobilization device, transparent
21 template and set-up sheet all lacked adequate
22 labeling to prevent incorrect positioning of a
23 patient.

24 Corrective action. Detailed labeling
25 information on transparent template and set-up sheet

1 will identify at least three structures. Vacloc
2 indexed and labeled for fixed location on table for
3 simulation. Multiple set-up photos and multiple
4 angle and distances will document patient
5 positioning.

6 And that's critical because you can't go back
7 and recreate a photo if it doesn't have everything
8 indexed on it properly the first time. You can't
9 recreate it. You can't second guess it and go back
10 and get that information.

11 Event number seven. Mid lobe lung, wrong
12 treatment site. Prescribed treatment, 5926.3 cGy of
13 SBRT and five fractions of 11.85.26 cGy. Delivered
14 one fraction of 97.66 cGy to an area seven
15 centimeters medial to intended site of left lung.

16 Positioning couch lateral shift was made to
17 allow for a gantry rotation between the arcs to the
18 next position. However, unfortunately, the table
19 was not returned to the correct position following
20 the lateral shift and neither of the therapists
21 noticed this. The therapist or the oncologist did
22 not verify the couch positioning parameters before
23 proceeding with the treatment.

24 The corrective action. A time out is required
25 prior to the treatment of the first field. After

1 imaging shifts are made, a separate check sheet will
2 document vertical, longitudinal and lateral table
3 values.

4 Fractional shifts greater than three
5 millimeters require further examination. Set-up
6 adjustments will now require two therapists in the
7 room. Four infrared localizations spheres are now
8 required. Prior to that, they were not required to
9 have the infrared localization spheres documented.

10 Event number eight. And this will be the last
11 event that you will have summarized. The remaining
12 slides we'll go over, but they're not summarized for
13 you because those are ones that are currently still
14 under investigation thanks to James.

15 JAMES FUTCH: I will continue to accept full
16 responsibility.

17 YVETTE FORREST: Yes, he will.

18 Event number eight. Prostate wrong treatment
19 site; wrong patient. Patient A, an 80-year-old man
20 was receiving IMRT for localized adenocarcinoma of
21 the prostate. The local prescribed dose for this
22 treatment was 79.20 Gy delivered in 44 fractions of
23 1.80 Gy per fraction. The event occurred during the
24 delivery of fraction 26. Administrative personnel
25 working at the front desk at the work flow computer

1 screen intended to check in patient A, clicking the
2 associated box. However, in error, they selected
3 completed. This is the box that the therapist would
4 normally check after completion of the treatment.

5 The patient appeared as they completed the
6 treatment queue. And the patient then loaded the
7 next patient's treatment plan, patient B. The
8 therapist escorted patient A to the treatment room
9 and delivered intended treatment B for patient B.

10 Both patients were to receive treatment to the
11 prostate. The patients had similar last names. The
12 error was then discovered, was discovered prior to
13 the treatment of patient B. Patient A received a
14 daily dose of 1.32 cGy versus the prescribed 1.80
15 cGy delivered using minor shifts of greater than,
16 greater than 10 millimeter. Patient B was treated
17 correctly without incident.

18 Obviously, we've all clicked the wrong thing on
19 a computer keyboard and can see how this can happen.
20 But unfortunately, the therapist did not use proper
21 patient identification, so it magnified the problem.

22 Root cause. Administrative personnel
23 identified the patient as completed in error. The
24 therapist did not verify patient identification
25 prior to treatment.

1 The therapist did not verify the accuracy of
2 the treatment fields by use of fiducials or patient
3 anatomy prior to treatment.

4 The therapist selected an administrative
5 treatment of intended for another patient.

6 This facility had a large corrective action
7 plan. The facility has implemented new policy and
8 procedures for the image guided radiation therapy,
9 IGRT and IMRT. The patient identification will be
10 verified by the therapist before entering the
11 treatment room. A time out is required prior to
12 treatment to verify the treatment plan and
13 corresponding patient. Name alerts will be used for
14 patients with similar names. An additional monitor
15 will be placed in the treatment room with patient
16 photo and treatment plan visible inside the vault.
17 The number of fiducials used to navigation source
18 will be included in the set-up notes. Staff has
19 been instructed in manual completion reversal of
20 patient appointments. The facility has requested
21 the product software change allowing treatments to
22 be listed as completed from the treatment work
23 stations only.

24 This is another example of how we find
25 something that's designed in our software very

1 similar to the exam that we saw with the software
2 with the equipment with the breast. Where there's a
3 manufacturer's, something with their equipment, and
4 we come back later and go back to the manufacturer
5 we see there's a fix that we need that we can
6 implement after we have a medical event. They
7 actually went back to the manufacturer and actually
8 had the software changed and now when you look on
9 the screen, they've actually separated. Because the
10 complete button and the check-in keys were side by
11 side. And the manufacturer's now gone back and
12 separated them so now it's a little bit more
13 difficult to make that same mistake.

14 Event number nine. Spine T11, L5 wrong
15 treatment, wrong patient. Once again, another wrong
16 patient. Patient treatment. 328 cGy of EBRT and 8
17 daily fractions. Delivered one fraction of 400 cGy
18 EBRT to an area outside of the prescribed spinal
19 site, including liver and kidneys. Patient
20 scheduled for treatment became ill. Next patient on
21 schedule was brought into the treatment room without
22 identification validation at treatment console.
23 Patient was positioned using tattoos. Therapist did
24 not verify the accuracy of the treatment field prior
25 to treatment. And therapist did not verify the

1 treatment plan and administered treatment intended
2 for the first patient.

3 Corrective action. Positive patient
4 identification is obtained upon arrival at facility
5 and before patient enters treatment room via
6 identification arm band and photo badge. A
7 treatment console patient I.D. is confirmed with
8 badge, treatment chart photo and face sheet. Prior
9 to patient treatment set up on table, a time out
10 performing using patient procedure confirmation
11 check sheet.

12 Event ten, urinary bladder, wrong treatment.
13 Again, wrong patient. Prescribed treatment, 63 Gy
14 of IMRT in 25 and 10 daily fractions of 1.8 Gy to
15 small pelvis. Delivered one fraction of .9 Gy to
16 small pelvis. Therapist set up room and treatment
17 plan for first patient on schedule. Patient that
18 entered treatment room was the second patient on
19 schedule. Again, they didn't identify this.
20 Positive patient identification was not obtained by
21 the therapist prior to patient entering the room.

22 Therapist used a Vacloc immobilization device
23 labeled to confirm the patient. Instead of using an
24 arm band or a chart, they actually used the Vacloc
25 to identify the patient and asked the patient, is

1 this your Vacloc. Patient incorrectly identified
2 themselves using the Vacloc. Therapist did not
3 verify accuracy of the treatment plan and
4 administered treatment intended for the first
5 patient.

6 Current policy and procedure for patient
7 identification and time out will be reinforced. And
8 the retraining of therapy staff.

9 Our eleventh event brings us to a level lower
10 leg for wrong treatment site. Prescribed treatment:
11 6,000 cGy of EBRT in 20 fractions of 300 cGy. This
12 was delivered by one fraction of 300 cGy to an area
13 with a negative biopsy result not intended for
14 treatment.

15 The patient had multiple lesions in the same
16 area. Simulation set-up photos were taken at a
17 distance and again, at an angle that inadequately
18 demonstrated landmarks. Again, this just
19 reemphasizes how important the initial
20 photos really are.

21 A transparent template or skin map was not
22 created at the time of simulation. Treatment fields
23 outlines were not visible at the time of patient's
24 first treatment. The therapist delivered a
25 treatment to the patient without verification of the

1 treatment site. And the facility lacked policy and
2 procedure for treatment simulation and verification
3 of treatment site.

4 Another large corrective action plan. Policy
5 and procedure for treatment simulation has been
6 implemented, requiring simulation photos that
7 included larger anatomical reference points. A
8 clear plastic skin map of the treatment field and
9 any other reference points to include scars,
10 tattoos, et cetera, and containing anatomical
11 orientation labels will be created. Upon the
12 patient's first treatment, the skin map will confirm
13 the treatment area. Prior to the first treatment,
14 the radiation oncologist will be called into the
15 treatment room to verify the field.

16 You see the common thread with most of these
17 events by now. We'll go through the event
18 commonalties we see as investigators when we visit
19 these facilities. We see a failure to follow or
20 recognize deficiencies in policies and procedures.
21 An inadequacy to transfer information to all staff
22 members. I'm sure you've seen how throughout most
23 of these medical events, we see where new therapists
24 come on after the second, third or even fourth
25 fractions, and most of these could've been avoided

1 if they'd been given the proper information,
2 including patient set ups.

3 Staff relied on minimal methods of verification
4 for treatment set ups and most importantly, patient
5 identification. Staff counterintuitively resisted
6 the need to verify patient identification treatment
7 plans or review with radiation oncologists. Again,
8 if they would stop and ask a simple question, most
9 of this could've been avoided.

10 Brief summary. Medical events occur following
11 a breakdown of two or more controlled elements.
12 Every facility is vulnerable to these events.
13 Facilities who have clear, well-developed policies
14 and procedures and who train on these procedures
15 minimize their risk. Event reporting is mandatory
16 and should ultimately aid a facility in the revision
17 or development of good policies and procedures
18 following an investigation.

19 And the contact information again, I mentioned
20 earlier, Amy Carlson is our lead investigator and
21 that's her contact information. She's always
22 available if any of you have a question or concern
23 or just want to speak to someone nice and friendly
24 on the phone, she's your girl. And thank you.

25 JAMES FUTCH: Thank you.

1 RANDY SCHENKMAN, CHAIRPERSON: Anybody have
2 questions or comments?

3 YVETTE FORREST: Or concerns or you just want
4 to badger James?

5 PAUL BURRESS: I have a question, just for
6 information maybe only.

7 YVETTE FORREST: Yes.

8 PAUL BURRESS: It seems to me that the ultimate
9 responsibility for this, even though it's shared,
10 oncologists, dosimetrist, physicist, is with the
11 person that delivers the radiation to the patient.

12 What happens? Is the work load, you know, is
13 the through put for the center driving their lack of
14 effort to verify that ultimate responsibility, do
15 you think? You know, is that a barrier why they do
16 it? Is it lazy?

17 GILES TOOLE: I think it has some influence. I
18 was trained 32 years ago in radiation therapy.
19 We've gone from total manual input of technical
20 parameters to almost total mechanistic delivery from
21 computer systems. And I think that we've become so
22 dependent in administration of radiation therapy
23 through those systems, that a lot of times we don't
24 go back and focus on Radiation Oncology 101.

25 In the two months we've been on these

1 investigations, I'm surprised at the number of
2 treatments that are given due to misidentification
3 of patients, which to me, is incredible. Or no
4 pretreatment time out that you verified, I'm
5 treating a lumbar spine, I'm giving 400 monitored
6 units or you're treating an area at 10 centimeters
7 inferior to the intended site.

8 So to me, I think it's taking the time to go
9 back and carefully look at stuff and have policy
10 and procedures in place that you're utilizing every
11 single day. And if the policy and procedures are
12 not working, you need to as a team, I think,
13 dosimetrist, therapists, front office, nurses, get
14 together and revise.

15 So the through put, the through put is an
16 issue. I think you have to address that as
17 management-wise to do that. But I'm very surprised
18 at the number of misidentifications. That really
19 concerns me. That we're treating a James Futch with
20 Giles Toole's treatment plan.

21 PAUL BURRESS: It almost feels like the
22 ultimate weight of that responsibility,
23 responsibility 100%, they feel like the whole team
24 is looking out, and while they would've checked
25 this, so I don't need to worry as much.

1 YVETTE FORREST: It's exactly what you're
2 saying. In fact, believe it or not, Giles and I
3 conducted an investigation this morning before the
4 meeting. We finished and one of the things we were
5 emphasizing to them is that complacency. I've done
6 this so many times, I've done this forever. I know
7 how to identify a patient. Especially therapy
8 patients. You've seen them. I've seen that
9 patient. They're going to be here for, you know,
10 three, six months. They get complacent.

11 And we gave the example this morning, I did,
12 that you walk into a hospital. We're all
13 professionals, but yet we're still reading posters
14 and we're still being taught in regular training
15 sessions on how to wash our hands. We still need to
16 have that type of attitude, in the clinical setting,
17 on patient identification. We still need to be
18 reminded and trained with our policy and procedures,
19 regularly, on we know this is the way it needs to be
20 done, but we need to be reminded and not become
21 complacent on these core critical issues on patient
22 identification and awareness. And we all know how
23 to wash our hands, but you can't walk in a hospital
24 right now and walk down the hall and not see a
25 poster on good hand washing. And it's that type of

1 attitude that they still need to have because they
2 do get complacent on some of these key critical
3 things, these barriers. And once that slips, I
4 mean, we just -- how many of those did you just see
5 up there where one of the elements that one of the
6 gates that they fell through was they misidentified
7 a patient.

8 TIM RICHARDSON: Yvette, who writes the
9 corrective action plan?

10 YVETTE FORREST: The facility writes it with
11 input from us based on the investigation, but it's
12 up to the facility to submit a corrective action
13 plan to the State.

14 TIM RICHARDSON: Any further monitoring as to
15 compliance?

16 YVETTE FORREST: Yes, sir. The state goes back
17 in.

18 JAMES FUTCH: I wanted to mention one thing to
19 give you a full picture on this.

20 We have two sets of laws that we're responsible
21 for. Yvette has the facility. The registrant,
22 people who registered the radiation-producing
23 devices. So what happens on the front end is,
24 Yvette and Giles and Amy will do the on-site
25 investigation after it's self-reported. And then

1 Yvette will make a decision about what's going to
2 happen to the facility. And often, that will end up
3 in not only corrective action, but it will end up in
4 a fine.

5 Giles takes the output from the investigation
6 and Yvette's process, and then he'll submit to the
7 disciplinary folks at the department, the people who
8 are in charge of disciplining the doctors, the
9 medical physicists, radiation therapists, a
10 complaint which is basically the results of our
11 investigation and we'll send it in.

12 Now, some of that comes back because I sit as
13 the probable cause determiner for one of the
14 professions, which is the radiation therapists are
15 involved.

16 So after the complaint goes into the
17 department's regulatory framework, they decide if it
18 meets minimum legal sufficiency. It always would or
19 we'd never submit it. They will farm it out and
20 we'll see the parts from the prosecuting attorneys,
21 who come to me and they will say, look, do you think
22 there's probable cause a violation has occurred?
23 And the violation will typically be something like
24 unprofessional conduct, specifically negligence, or
25 something along those lines for the therapists.

1 Now, we never see any complaints that derive
2 with the prosecuting authorities for the physicians
3 or for the medical physicists. Of course, the
4 dosimetrists aren't licensed in Florida so they're
5 never going to be disciplined. Have I left anybody
6 out?

7 And we may -- there's specified disciplinary
8 guidelines in our practice standards that would
9 range from maybe a letter of guidance, which is
10 like, you know, you're going on record. We know
11 about this. By the way, here's the law. Don't do
12 this again. And that could be a fine or anything up
13 through reprimand, suspension or even revocation,
14 theoretically.

15 So that's the kind of punitive
16 behind-the-scenes measures that happen. And I guess
17 one question that I've got, separate from all this
18 is, we've been doing this for years. Yvette's
19 predecessor, Don Steiner and Amy's predecessor, Tom
20 Tomczak, as they do now, they will go to AAPM
21 meetings. They will go to different places.
22 They've been talking about all these. You've seen
23 this presentation. You can change some of the
24 specific facts and you've seen this same thing for
25 decades over and over and over again. And a

1 question for me, maybe not right now since we're
2 trying to get to lunch, is you guys are the
3 council, do you think we ought to be doing
4 something different? Do you think we need to be
5 changing regulations? Do you think we should be
6 perhaps saying, you know, after the facility does
7 this, as we've had once in a while here lately, a
8 facility, this may be their third go around. Maybe
9 not the exact same problems happened each time, but
10 they've always been medical events spurred on by one
11 of a combination of these things.

12 You know, do we need a disciplinary guideline
13 that says, okay, first offense is a range of
14 penalties. Second offense is an elevated range of
15 penalties and the third offense is, you know, we
16 revoke your registration and you're not using that
17 machine anymore.

18 And I know it's kind of a weighty thing to dump
19 on you right before lunch. Spur some conversations
20 maybe.

21 KATHY DROTAR: Just on another flip side of
22 that. A conversation that I had with Debbie Gilly,
23 who formerly worked for the Department and was with
24 IAEA and had a whole international initiative
25 going --

1 JAMES FUTCH: She set up a database.

2 KATHY DROTAR: -- so that it would be
3 self-reporting so we would find these errors so
4 people wouldn't be afraid to come forward. So I
5 kind of don't think that we need so much punitive,
6 but making people realize that, oh, this is wrong
7 but being able to bring it forward and we found two
8 machine errors in this and could go back to the
9 manufacturer.

10 So, you know, that's just -- I don't think -- I
11 think we need harsh and punitive, but depending on
12 what it is.

13 CHANTEL CORBETT: I think if we have three
14 incidents and they are all three different, you
15 know, reasons, you know, that's different than
16 having the same error over and over and I think that
17 maybe a specification of that type would be --

18 JAMES FUTCH: And Giles has mentioned something
19 that I hadn't thought about in years when he came
20 back and started. Returned employee from 1990.

21 GILES TOOLE: 1990. 25-year break.

22 YVETTE FORREST: It just means once the state
23 get their hooks in you, they eventually draw you
24 back in.

25 JAMES FUTCH: Yeah, Debbie, by the way, is

1 still working in Austria working for IAEA.

2 KATHY DROTAR: Where is she?

3 JAMES FUTCH: Debbie is still in Austria
4 working for IAEA.

5 KATHY DROTAR: Oh, okay.

6 CAROL BONANNO: Austria?

7 KATHY DROTAR: Yeah, the last I talked to her
8 she was getting her certificate.

9 JAMES FUTCH: Grad - made good. But anyway,
10 there are some of these that involve, what sounds to
11 me like, lack of management control. Not always. I
12 mean, not necessarily some of these. But some of
13 these recent ones. I think one facility actually
14 just got bought out or is in the process of getting
15 bought out by another facility, so Giles got to see
16 the old facility management response to these
17 multiple events and got to see the new facility's
18 response to it and that guy was, like, white as a
19 sheet.

20 GILES TOOLE: Right.

21 JAMES FUTCH: That's a good thing, right?
22 that's a wonderful thing. But I don't know. Just
23 toss that out.

24 RANDY SCHENKMAN, CHAIRPERSON: Is everybody
25 ready for lunch? Okay.

1 BRENDA ANDREWS: Carrabba's can accommodate us.

2 RANDY SCHENKMAN, CHAIRPERSON: Okay.

3 Carrabba's.

4 BRENDA ANDREWS: Yes.

5 RANDY SCHENKMAN, CHAIRPERSON: Everybody know
6 where that is?

7 GAIL CURRY: Just follow the pack.

8 RANDY SCHENKMAN, CHAIRPERSON: We'll be back
9 here at 1:15. Is that okay? An hour.

10 JAMES FUTCH: It's in the airport. We'll do
11 our best to get back in time for the next start.

12 (Proceedings recessed at 12:30 p.m.)

13 (Proceedings resumed at 1:40 p.m.)

14 RANDY SCHENKMAN, CHAIRPERSON: Okay. Can we
15 get started? Would everybody like to have their
16 seat? We have a great presentation coming up.

17 JAMES FUTCH: So this afternoon, we have Richie
18 Spangler from the Sandia National Lab in
19 Albuquerque, and he's going to talk about the global
20 threat reduction initiative and I'll let you explain
21 all of that.

22 But without further adieu -- do you want me to
23 do the video first or do you want to talk a little
24 bit?

25 RICHIE SPANGLER: Well, let me give a minute or

1 two introduction so you understand. We'll set the
2 context for what we're going to be talking about for
3 about 30 minutes or so hopefully, depending on how
4 many questions you have.

5 But let me introduce myself. My name is Richie
6 Spangler. I'm what we call a team lead. And we're
7 in the process, just like any other governmental
8 thing, we are in the process of changing our name.
9 We've been Global Threat Reduction Initiative for
10 about the last seven years. Now they've done a
11 reorganization of DOE headquarters and we are --
12 they are heading down the path of calling us the
13 Radiological Security Partnership. Because it is a
14 partnership between ourselves and facilities that do
15 use radiological material in the course of their
16 business, research or whatever they might be doing
17 with it.

18 Basically, our program was born out of post
19 9-11, and we'll talk a little bit about that. I'm
20 going to basically show a video. But I'm one of the
21 team leads that is actually -- I'm assigned to --
22 Florida is my state. There are six teams at Sandia
23 that do this work. There are a number of teams that
24 P&L that do work in the northern part of the
25 country. And basically, what we do is we travel

1 around the country working with facilities that,
2 like I said earlier, utilize radiological material
3 in the course of their business and try to assist
4 them and actually pay for enhancing their security
5 culture and their security equipment and processes.

6 So there's a field component to it where we
7 actually go out to the facilities; there's a
8 training component to it, and it all includes a
9 bunch of equipment that gets basically given to you
10 at the end of what we're doing.

11 The other thing that is new is that last, well,
12 last fall, for this fiscal year, Congress has
13 appropriated as part, I believe it was the omnibus
14 budget bill, an additional amount of money for our
15 program to secure all -- with an expectation that we
16 will secure, enhance security of all what we call
17 Category 1 sites in the United States by the end of
18 2016. And so, it's got a lot of -- our program is
19 very visible on the Hill. It's a partnership with a
20 lot of facilities throughout the country. We also
21 have an international component where we do this
22 internationally as well, and it's been a very
23 successful program.

24 So I think just as sort of a general overview,
25 what I'd like to do is have James play this video

1 that sort of summarizes the program. It talks --
2 there's some discussion in there from some
3 facilities that we've worked with. It talks about
4 our origins and takes us through that. And then at
5 the end of the video, what I'd like to do is maybe
6 speak at the higher level about our process and then
7 basically open it up; answer some questions that you
8 may have.

9 It's going to be very -- we'll keep it at a
10 fairly high level. If there's some facility-level
11 discussions, obviously, those get a little sensitive
12 because we're talking about security at your
13 individual facilities. So if you could keep those
14 kinds of questions sort of at a minimum, but if we
15 could talk at the higher level, I'm happy to have
16 those conversations with you.

17 So without any further adieu, let's -- and I
18 will apologize ahead of time, it's the British
19 pronunciation of americium, but other than that, I
20 think it's okay.

21 (Video Played)

22 RICHIE SPANGLER: So the teams that they
23 referred to that go out are experts. I guess that's
24 us. We've worked with, I don't remember the count,
25 but it's around 700 sites throughout the country to

1 do this program thus far. We've got quite a number
2 here in Florida that we have worked with and are
3 working with. And basically, I guess it was a tough
4 thing, right, to turn out the lights right after
5 lunch and watch that really exciting video.

6 But the thing that I want to point out is, if
7 you can think of us as the anti-dirty bomb people.
8 That's what we're really here. It's the whole ounce
9 of prevention is a pound of cure. Our objective
10 here is to make sure that the bad guys don't get
11 their hands on the material to start with. And
12 that's probably the best way. And the example that
13 I like to use that's it's fairly fresh in
14 everybody's memory is the Boston Marathon bombing.
15 It's been in the news lately because of the trial.
16 So it's really, really kind of, you know, relevant.
17 Because I want you to imagine for just a moment if
18 they would have laced those bombs and those trash
19 cans or whatever, with a couple thousand curies of
20 cesium 137.

21 I mean, it was a tragic loss of life. There
22 was a lot of hysteria, there was a lot of concern
23 about additional bombs, but now imagine if that
24 whole sector of Boston had been radiologically
25 contaminated. Now, hopefully by now, we would've

1 cleaned it up. But even after the clean up, how
2 many people are going to want to psychologically
3 move back in? Just let that sit for a minute. And
4 that's what we're trying to prevent from happening.

5 How do you prove a negative? It's really,
6 really difficult. But that's our mission is to
7 prevent these things from happening by limiting that
8 access.

9 Now, one of the things that the video talked
10 about is that it's more than just us coming in and
11 providing our expert advice and suggestions and
12 designs. It's also us working and partnering with
13 the site to pay for -- and we pay for all the
14 installation of that. We also pay for a three-year
15 warranty and maintenance on all of that material.

16 A key aspect of what we do is to make sure that
17 any enhancements that we do are integrated into
18 whatever system you already have in place. We don't
19 want to develop a whole new system that you're going
20 to have to manage after our three years of warranty
21 and maintenance is over. So we want to integrate it
22 into the system.

23 The other thing that we're very sensitive to,
24 particularly in a hospital setting, is the fact that
25 you have to actually operate, okay? You need to be

1 able to operate your facility. So operational
2 considerations weigh very heavily in the processes
3 that we use.

4 So we want to minimize our operational impact,
5 we want to maximize our integration with existing
6 systems in order to be able to make this a
7 sustainable security change.

8 And then they mentioned this ARRT training and
9 the PRND training. And that's something for the
10 sort of boots and guns guys to be able to go get
11 credit. It's actually law enforcement training
12 that they get credit for to participate in. And
13 it's actual, you know, you saw the guys with the
14 rubber guns and all that. It's actually live
15 exercises where our people play the bad guys and
16 we actually use the facilities that are there in
17 training to actually defend the sources. So
18 it's a really, really great opportunity.

19 And that's usually what really gels the program
20 is the participation. Because, you know, you've got
21 all the folks that are -- let's use the medical,
22 because I think most of the folks here are on the
23 medical side of things. You've got all the folks on
24 medical side and the health physics side, radiation
25 safety side that all understand the concerns of

1 radiologic materials, and then you've got all the
2 folks that are in the security police response force
3 that would rather have someone shooting at them than
4 waving a source in front of them. And we try to
5 bridge that gap to be able to make everybody
6 understand.

7 And so, during our live exercises, they've
8 actually recently developed a radio frequency source
9 that actually plays the part of the radiological
10 material, and we've adapted our **eradi (ph)** monitors
11 to actually read the radio frequency source so the
12 responders get real time feedback on their eradis of
13 what's going on with the source and when it's sort
14 of out of the radiator. So it's a really, really --
15 feels a very real life kind of a thing, despite the
16 fact you're carrying a rubber gun. But you know,
17 other than that. So it really does bring those
18 groups together that normally don't talk to each
19 other, you know.

20 And I think James and I were having the
21 conversation about how this is a group of
22 professionals that would be resources for somebody
23 who's out boots in the street if an incident was to
24 take place. The Department of Health in particular,
25 Bureau of Radiation Control, would be called upon to

1 assist in the case of some sort of a radiological
2 threat. And so, this is exactly the kind of
3 audience that we want to connect with the folks that
4 are actually doing the response.

5 So with that said, holistically, I think I've
6 given you an overview of what our program is.
7 Hopefully you understand why we're concerned about
8 what we're concerned about. They reference a lot,
9 you saw some models, little green clouds floating
10 over Manhattan and things like that. There's been a
11 lot of analysis, a lot of modeling that's been done
12 to try and support this. How do you winnow down all
13 the isotopes of those major four or five that we're
14 really concerned about. So there's a lot of aspects
15 that go into that and those processes get sensitive
16 in terms of how you arrive at that.

17 But suffice it to say, there's been a
18 considerable amount of very high-level experts that
19 have gone through this to try and come up with this
20 program structure.

21 So with that, I'd like to kind of set it out to
22 you guys questions or comments. I have a stack of
23 cards for, if anybody's interested in the, you know,
24 working with us in the future.

25 Right now, our primary focus over the next 18

1 months are these Congressionally-mandated Category 1
2 sites that we're trying to work on, but that doesn't
3 mean that other sites that utilize radiological
4 material aren't going to be worked with as we move
5 through the -- it's all about a risk priority thing.

6 RANDY SCHENKMAN, CHAIRPERSON: What's Category
7 1?

8 RICHIE SPANGLER: We use the IAEA definition.
9 It's based upon the type and quantity of
10 radiological material that's available at a
11 facility.

12 RANDY SCHENKMAN, CHAIRPERSON: Is that the
13 highest or the lowest? The highest.

14 JAMES FUTCH: Highest.

15 RICHIE SPANGLER: It sets a benchmark by which
16 if you have more than that amount, we consider it a
17 Category 1 site. If it's got less than that amount,
18 it's considered a Category 2 site. So there's sort
19 of gradations in there depending on the isotope and
20 the actual activity.

21 JAMES FUTCH: If you're a bad guy, you want to
22 find the Category 1 sites.

23 RICHIE SPANGLER: Yeah. If you're going to
24 expend the resources to break into some place and
25 steal some radiological material, you know, odds are

1 that they're going to want to go to a site that has
2 more stuff to steal than less.

3 MARK SEDDON: Do you include HDRs? I know in
4 some of the video, they talk about 10 curies or more
5 of iridium-192.

6 RICHIE SPANGLER: So we're concerned more about
7 10 curies or more of iridium-192.

8 MARK SEDDON: Right.

9 RICHIE SPANGLER: There's been some recent
10 analysis that has sort of shifted those limits a
11 little bit.

12 MARK SEDDON: Right.

13 RICHIE SPANGLER: Obviously, we can talk more
14 about specific circumstances. But you know, HDRs
15 have been part of the program in the past.

16 JAMES FUTCH: So Gary has sign-up sheets and
17 forms. I think he's giving away door prizes.

18 RICHIE SPANGLER: Yeah, anybody that wants to
19 sign up. It's really funny because you always say,
20 we're here from the Government; we're here to help,
21 and that's always met with a few chuckles, right?
22 But in this particular program, it is, like I said,
23 it's a voluntary program. We come in and we provide
24 the assessments and expert opinions at no cost to
25 the site. Any of the security enhancements, you

1 know, they talked about biometric readers and access
2 controls and cameras and all that kind of stuff. We
3 install all of that for free. And typically, when
4 you're dealing with security equipment -- and those
5 of you that have -- anybody here have a home
6 security system on their house? Anybody?

7 (Show of Hands)

8 RICHIE SPANGLER: So it costs you, you know, X
9 numbers of thousands of dollars to get it installed
10 and then 30 bucks a month to have it monitored,
11 right? And it's similar kinds of orders of
12 magnitude when you're dealing with an industrial
13 facility where the capital outlay to actually build
14 all that infrastructure and do that is quite
15 significant, whereas the monthly maintenance fees
16 are usually very low in comparison. And so, that's
17 sort of way I like to talk about it to folks is,
18 that we provide all that capital outlay as part of
19 the program and then hopefully -- and we pay for the
20 three years of that monthly kind of service fee, if
21 you will, and then beyond that, we hope you'll be
22 able to just absorb it.

23 And in most cases because we integrate it into
24 their existing systems, they already have a
25 dispatcher sitting there watching cameras;

1 monitoring alarms. It's really an incremental, if
2 that, increase in any costs.

3 RANDY SCHENKMAN, CHAIRPERSON: Who monitors it
4 for the first three years?

5 RICHIE SPANGLER: Well, what we do is we try to
6 use secondary monitoring wherever we can. So,
7 typically, a hospital will have their own security
8 monitoring group within the hospital. Sometimes
9 they have armed guards; sometimes they don't. And
10 then you have a secondary monitoring station that
11 could either be a contracted monitoring station like
12 you do when you call the number for your home or it
13 could be a local fusion center like, for example, we
14 have partnerships with law enforcement agencies,
15 such that they will actually monitor it for free.
16 And so, it's circumstantial. But we try to build
17 those relationships because you've got the folks at
18 the facility and then you have the folks that are
19 off site.

20 Now, in some cases there aren't security at the
21 facility, itself, right? We're talking about
22 hospitals, universities, you do that. But if you're
23 talking about, you know, some other facilities that
24 you may not have that active response component
25 local. So it's strictly with the, whatever the

1 local law enforcement, city police or sheriff's
2 office or something like that.

3 RANDY SCHENKMAN, CHAIRPERSON: Typically, how
4 long does it take to do all this training and
5 installation?

6 RICHIE SPANGLER: So from the time that someone
7 sort of drinks the Kool-Aid, if you will, and says
8 we want to play, we will typically get them up and
9 running, our objective is to do it in less than a
10 year. Depending -- there's a lot of different
11 things. Like, for example, in some states, whenever
12 you're doing construction-like activities in a
13 hospital setting, there's some additional things
14 that have to be dealt with. I think Florida has
15 some of that as well. California I know has that.

16 So we have to, depending on how many hoops we
17 have to jump through to do it, but we go through.
18 We actually will contract with a local vendor or the
19 facility, itself, if they happen to have people that
20 do this kind of work themselves. We engage in a
21 contracting effort, which takes some time. And then
22 it takes some time to actually get the system
23 installed. And then we will come back out and do a
24 validation to make sure the system is actually
25 operating as designed and implemented as designed.

1 So a year. Hopefully less.

2 RANDY SCHENKMAN, CHAIRPERSON: Interesting.

3 JAMES FUTCH: I'm not sure which of you or your
4 facilities may have interest. Richie's got the
5 cards and he may even entertain -- I don't know if
6 you have societies who may want to see something
7 similar. I don't know if you do that or not.

8 RICHIE SPANGLER: Yeah. I mean, like I said,
9 I'm responsible for Florida, so I end up here pretty
10 regularly. I'll be back in two weeks. I'm visiting
11 with a site this week and I'm visiting another site
12 in a couple weeks. So I'm back, you know, I get the
13 frequent flier miles back and forth to Florida here,
14 so I'm around pretty regularly. So I'm always happy
15 to speak with a group.

16 You know, one of the things that we realize,
17 and it's an area that's always created some
18 challenge, like I said, is that, you know, the
19 security forces are much more comfortable with
20 someone shooting at them than someone waving a
21 source at them. A lot of times, they don't really
22 recognize what they have to protect, right?

23 I mean, it's not like you're protecting, you
24 know, money or jewelry or something like that.
25 You're protecting radiologic material from a

1 terrorist access. So they don't -- it's not part of
2 what their basic training is.

3 Just like for you guys that are, you know, in
4 the radiology and things like that, you probably
5 would be pretty uncomfortable carrying a gun while
6 you were doing your treatments as a level of
7 security, right? You don't want to be doing that.

8 So just think about that. As uncomfortable as
9 you may carrying a gun, those of you that have
10 concealed carry permits notwithstanding maybe, but
11 those of you that would be feeling uncomfortable
12 carrying a gun around, that's the way the people
13 that do the security forces feel about having to
14 deal with something that is radiologic in nature.

15 JAMES FUTCH: Well, thank you.

16 RANDY SCHENKMAN, CHAIRPERSON: Okay.

17 RICHIE SPANGLER: Thank you very much for the
18 opportunity to come and visit with you folks and
19 present a little more information about what we do.

20 RANDY SCHENKMAN, CHAIRPERSON: Are you going to
21 leave your card?

22 RICHIE SPANGLER: Yeah. I'll leave a stack of
23 cards and please, you know, I'll hang out here until
24 you kick me out for the afternoon.

25 JAMES FUTCH: Yeah, you're welcome.

1 PAUL BURRESS: We use the offsite source
2 recovery program and that was quite nice.

3 RICHIE SPANGLER: Okay.

4 PAUL BURRESS: Not just the sources that
5 exceeded the threshold, but we had an old ANUDM that
6 was just a little bit below and we would not have
7 been able to dispose of that if it were not for that
8 program with fairly limited budgets and professors
9 saying, well, we want to keep it because some day we
10 might use it.

11 RICHIE SPANGLER: We might use it some day.

12 PAUL BURRESS: Yeah, so --

13 RICHIE SPANGLER: Yeah, I didn't talk about
14 OSRP. They kind of briefly mentioned it there. And
15 so, we have three aspects. One is protect, one is
16 convert and one is, you know, the Holy Grail of risk
17 reduction is to eliminate the source altogether,
18 right? And so, I'm firmly ensconced in the protect
19 area, and if we're working with a site to protect
20 the material they do need to have, we also will work
21 with them to remove any unwanted material. And it's
22 at no cost, which is a really good deal. I mean,
23 that's really great because it's expensive to get
24 rid of unwanted radiologic material. It's not like
25 you take it down to the local household hazardous

1 waste dump or landfill. It's a very expensive
2 proposition to package it, ship it, get it out and
3 actually have it disposed of.

4 So the OSRP, Offsite Source Recovery Program,
5 is available for partner sites to do that. That's a
6 big thing for us as well.

7 And the last thing, the convert one has to do
8 more with reactors where we help people convert
9 their technology from having to use highly-enriched
10 uranium, which is the stuff that we don't like
11 because that's the stuff we use for radiologic
12 dispersal devices. That's the stuff we use to build
13 improvised nuclear devices, right? We don't want
14 any of them around, either. So we go try to help
15 them convert their processes to use low enriched
16 uranium. That's the other part of what we do.

17 RANDY SCHENKMAN, CHAIRPERSON: Thank you so
18 much.

19 RICHIE SPANGLER: Thank you very much for the
20 opportunity to speak with you folks this afternoon.

21 RANDY SCHENKMAN, CHAIRPERSON: Okay. We're
22 going to go on now to Black Pearl exercise.

23 TIM DUNN: I'm Tim Dunn, Bureau of Radiation
24 Control. Last week, Thursday, we did a Black Pearl
25 population monitoring exercise. So this has been

1 thrown together since then. I apologize if it is
2 generic looking. Little fancy slides.

3 JAMES FUTCH: Tim has, after we very gently
4 twisted his arm, you may remember we had the Key
5 Lime exercise a year or two ago, I guess, that we
6 talked about. This is the side of the emergency
7 response shop where we go out and ask the volunteers
8 to help us in a focusing style event and I'll let
9 him take it from here.

10 TIM DUNN: We did this one on Thursday. It was
11 down in St. Lucie. You see here the participating
12 agencies we had.

13 This was actually designed and planned by the
14 DEM. Most counties that are involved with power
15 plants, have to have population monitoring in place
16 and they do drills regularly to make sure they could
17 do the population monitoring.

18 You see along the bottom there you have Palm
19 Beach county, Okeechobee, Indian River. Those
20 counties all practice it on a regular basis. If,
21 for some reason, we have more people than they can
22 handle, then the state may come in and set up
23 another population monitoring area, and that's what
24 this drill was designed.

25 We did have medical reserves, of course,

1 Florida Department of Health, BRC, and the civil
2 support team come in. We have the 48th and 44th in
3 the State of Florida and 48th came in to help with
4 this one.

5 What is it? Under the National Response
6 Framework, the CDC or center -- I have to apologize.
7 I am an ex-Navy nuke, so if I start using a lot of
8 acronyms and I don't have it spelled out, please let
9 me know.

10 JAMES FUTCH: We'll just throw things at you.

11 TIM DUNN: The Center for Disease Control is
12 responsible for assisting state and local and tribal
13 governments in doing the population monitoring.
14 They will not come in and do it, but they give a
15 template to allow the cities and the states and the
16 counties to build upon and actually make a plan.
17 They need to monitor people for internally and
18 external contamination and decontaminate those
19 people and then more importantly, after that fact,
20 is the registry.

21 As of right now, we're still tracking the
22 people from Hiroshima and Nagasaki, which has been
23 70 years ago. Those people are still being tracked.
24 Even their offspring are being tracked. That's how
25 important that registry is. It will never go away

1 as far as I know.

2 Some examples of why we need population
3 monitoring. Not too far back here. Chernobyl.
4 Little background on that one. They were doing some
5 reactor testing that they should not have been
6 doing. They were trying to see just how high up
7 they could ramp that reactor up. They redlined it,
8 basically. Shut off every one of the safety
9 features. Had the back-up safety features. They
10 shut off the back-up safety features and, of course,
11 this is the result: 134 cases of acute radiation
12 sickness is what was reported. We'll never know the
13 truth on that one. But you can see the numbers.
14 116,000 evacuated; 210,000 relocated.

15 Goyanna, Brazil, you might be familiar with
16 this one. There was a radio therapy clinic that had
17 shut down. The physician that had left that clinic
18 was having a fight with the landlord because he had
19 his equipment left inside. So for quite a while,
20 they had armed guards standing outside of his
21 building to make sure nobody went in and messed with
22 the equipment that was inside.

23 One day, one of the guards decided to go to the
24 matinee to see Herbie Goes Bananas was more
25 important than guarding the facility, so people

1 broke into the facility; stole the machine just for
2 the scrap metal. While tearing it apart, they found
3 the cesium chloride in the middle of the unit. It
4 busted open. It's blue. It's pretty. They took it
5 home. They started playing with it. Painted
6 themselves with it. Colored their hair with it. A
7 little girl spread it across her table that she's
8 eating on. She had a little kid's table.

9 Unfortunately, out of it, we did have four
10 people that died, the little girl was one of them;
11 249 people contaminated. But if you look at the
12 biggest number there, 112,000 people monitored.

13 Typically, the first thing people are going to
14 do is go to a hospital if they run into any kind of
15 condition like this. There's not a city that I know
16 of that can handle 112,000 people. And those are
17 the ones that came in because of the event. You
18 don't carry about the worried well. You're going to
19 have people that are going to be on the phone with
20 somebody and say, hey, I was on the phone with them.
21 I got contaminated. I got to check that. Those
22 numbers you can't handle locally. You have to set
23 up population monitoring areas or the reception
24 centers away from the hospitals. They have more
25 important things to deal with.

1 More recently, Fukushima. It was a 9.0
2 earthquake. Tsunami 40-foot high. The reactor
3 plants, two of them already shut down; two of them
4 operating. They did shut down as they should have
5 during the earthquake. Everything was fine and well
6 until the 40-foot tsunami came in, flooded the
7 diesel generators which were below ground. So
8 without the diesel generators, they had no power to
9 put the coolant on the core. So the two that were
10 operating were in like a hot stand-by, shut down
11 condition. They heated up; hydrogen heated up and
12 blew up the tops. That's what you saw in all the
13 pictures.

14 The numbers on this, 170,000 evacuated. They
15 had 450,000 people in 2600 evacuation centers.
16 Those people are all having to be monitored. As you
17 can see, they had pets, which is a major, major
18 thorn in my side right now I'll say, but it's a real
19 concern. We've got a lot people that don't want
20 their pets to be taken from them. They don't want
21 their pets to be left behind. So we're going to
22 have to deal with that one, but it's an issue right
23 now.

24 But the numbers just are amazing. And then you
25 look at, during an event like that, where do the

1 people go? Are they going to go to the first place
2 they come to? No, they're going to spread. From
3 Katrina, they ended up in Washington State up in
4 Seattle. I mean, every one of those dots is where
5 the people have repopulated from Katrina. So that's
6 why when I'm giving training in a place that has no
7 nuclear reactor plant near it at all, I still tell
8 them, you may have people showing up. You may have
9 more than your hospital can handle. They may have
10 to set up a reception center in these counties in
11 the middle of nowhere.

12 Some of the other exercises we've done. In
13 2011, we did one in Orlando. That one was sponsored
14 by the CDC. We had the MRC volunteers come in. We
15 had about 100 volunteers to act as actors that came
16 through. As James said earlier, Key Lime, 2014,
17 down in Key Largo. It was an alternate site. So
18 they wanted to test it out and see if they would
19 actually use that one as a reception center. It
20 worked pretty good except for the parking. We had
21 to park miles and miles away and take buses to come
22 in because there was no parking at all.

23 JAMES FUTCH: Key Largo.

24 TIM DUNN: It's an island. Space is limited.
25 It's real little.

1 The community reception center will be set up
2 shortly after an incident happens. We're hoping
3 that we can get one set up after any incident,
4 whether it's a weapon or the unlikely event of, I
5 guess I should say unlikely event of a nuclear power
6 plant accident or more likely event of an IMND or
7 nuke. About six to twelve hours, they're hoping to
8 have one set up. Have medical treatment to test
9 people for contamination, decontaminate them. Give
10 a dose assessment if they do have internal
11 contamination or if they've had some external for a
12 while and then check them for the long-term health
13 effects. Again, that's the CDCs guide for
14 population monitoring.

15 What we do as for BRC, we train medical reserve
16 corp. because in the event that something happens,
17 we will not be available. I've got 80 people in my
18 roster for any emergency and we all have jobs that
19 we'll be having to do. So we have to rely on
20 somebody else to set up and actually do this
21 monitoring for us because we won't be there.

22 We use the MRC. We have the radiation response
23 volunteer corp. that we train. As you see, their
24 mission is to get a team of local volunteers,
25 medical and public health officials and non-medical

1 health also, and use them throughout the year and
2 also in the time of need.

3 This basically, to sum it up, says we don't
4 want any SUVs, spontaneous untrained volunteers.
5 People that just want to join in and help and try
6 their best. But we want them trained. We want to
7 know who they are. We want to have a registry of
8 who knows what they are doing before they show up.

9 That's what the MRC is. To date, I think we're
10 about 2,000 people trained right now in the State of
11 Florida.

12 This is the group we actually had for Black
13 Pearl. We had between 50 and 60 volunteers. These
14 people are all volunteers. We do the training
15 usually on Saturdays because they can get away from
16 their jobs and come and do it. And when they showed
17 up at this, nobody got paid. They got lunch from
18 the Salvation Army, but that was all they got, and a
19 T-shirt. We did get a grant through the CRCPD to
20 pay them for their mileage. So if anybody lived
21 more 50 miles away, they got paid for mileage and
22 lodging. But otherwise, they were on their own.
23 Just volunteering.

24 This is Kim Sharkey. She's the one that
25 actually designed and planned this and named it. A

1 lot of people asked where the name Black Pearl came
2 from. She likes pirates, so that's where that came
3 from.

4 And then the BRC staff. For this one, what we
5 acted is, was subject matter experts. We were at
6 each station to help guide the MRC to make sure
7 they're doing it correctly. We actually ran the
8 people through twice so the people that worked the
9 instrumentation the first time, and the other ones
10 were the actors. Then we switched and they became
11 the actors and the other ones worked
12 instrumentation.

13 We had live animals. We had brought stuffed
14 animals for the original plan and this group of
15 volunteers here said, we've got some live animals.
16 They are service animals. They are not guide dogs,
17 but they are service animals that are used to being
18 around people for different things they do. And we
19 said, what the heck. So we used them. And it was
20 great to have them there because we did learn quite
21 a bit.

22 As I said, animal decon is an issue. They have
23 done testing, they've done all kinds of research,
24 trying to see how the best way and best method to
25 decon animals. And we're not there yet. At this

1 point, shaving would probably be the best. I'm not
2 going to shave anybody's cat, so we got to find some
3 other methods.

4 (Laughter)

5 TIM DUNN: We'll see. We'll see what happens
6 with that. so it's a big issue. We're working on
7 it.

8 If you look at your typical community reception
9 center, you're going have your seven stations, your
10 initial sorting, first aid, contamination screening,
11 and your wash. Those are all going to be considered
12 the hot zone or the potentially contaminated zone.

13 Then you cross over into registration. Your
14 dose assessment, if they have possible internal
15 dose, and then discharge.

16 This is the model plan. It can be adjusted to
17 fit whatever size you deem necessary or to fit your
18 facility. In the best situation, like you would
19 have three bathrooms for washing, a male, a female
20 and a family. You may even, if you have four, throw
21 in a disabled one. So you have disabled, male,
22 female. Some, like the one we just did at Black
23 Pearl, all the decon was done outside. So it was a
24 tent from the CSTs. So the support team from the
25 National Guard. And so we adjusted it quite a bit.

1 Basically, people are going to come in from
2 wherever they are at, go through the community
3 reception center and then either go home to a
4 shelter or a hospital. Depends if they need any
5 extra care or not.

6 Initial sorting, what you'll do on this, this
7 is the registration table. You'll actually have one
8 of the volunteers with a radiation detection monitor
9 on them. And silent. Walking up and down that line
10 and if they see somebody that needs additional help,
11 whether they are disabled or just because of the
12 whole event, they may be psychologically having
13 trouble. You'll pull those people out.

14 If your detector goes off and vibrates, not to
15 alarm, you might grab somebody and say, there's no
16 need for them to be here. They're dirty. Let's
17 take them in and get them washed up before they
18 contaminate everybody else.

19 The first aid, this one is very important. You
20 would never want to put off first aid to an
21 individual because of contamination. Nobody has
22 died from contamination. People have died from the
23 wounds they've got. So you never put that off. You
24 can worry about the contamination later. Take care
25 of the medical first. And this one here we had a

1 very minimal medical staff, but we did, right behind
2 the Red Cross we had some cots and things like that
3 back there for medical if we needed to.

4 And the contamination screening, we actually
5 had four porta-monitors set up where they can walk
6 through. This is one of the people, being an actor.
7 He has his little badge on. That tells him who he
8 is, how old he is, where he was at, all that
9 information.

10 Another thing, I don't know if you can really
11 see it on his right arm, he's got an armband on.
12 For this exercise, we used the EM tracker. EM
13 tracker, it's actually just a bar scanner. So when
14 the people came in and registered, we scanned them
15 and every station they went to from there, we could
16 scan and we can track where they had gone through
17 the reception center. And it's got the peel off
18 that if they have a bag of clothing with them that
19 we need to take or that they're going to carry, we
20 put that same sticker, bar sticker on there, bar
21 code. It's actually something the fire department
22 has been using for a while. We're trying to adopt
23 it. Mike Dyer from Palm Beach County is really
24 pushing that one.

25 And the pets. Because we had the pets, we

1 actually devoted one monitor just to the pets. This
2 case here, we had that little dog which stood about
3 two inches tall and our detectors start at about six
4 inches tall. So we thought about this and said,
5 hmm, how are we going to come about this? What she
6 did is, she went through first, went back, picked up
7 the pet, and then went back through again. But that
8 is something that will come about.

9 You know, the pet decon, the State of Kansas
10 stopped -- tried to do one. They built this entire
11 wash station out of plexiglass. It had a ramp going
12 up. It was a plexiglass box that had the gloves
13 coming in to wash the pets and it comes down a ramp
14 on the other side. Dogs don't like to go up metal
15 ramps because their nails hitting. They don't like
16 arms coming at them when they're in a box with water
17 spraying on them. So even though they were
18 well-trained, well-behaved dogs, they freaked out.
19 So it's something that everybody's dealing with
20 right now. And don't know a possible solution just
21 yet.

22 If somebody is contaminated, they go to the
23 wash. Typically, if you have a regular
24 restroom-type area, wash, they go into the shower,
25 themselves. They bathe. We give them directions on

1 how to do it or the MRC volunteers give them
2 directions. Then they come out. You don't go in
3 there. You don't wash them. You don't scrub them.
4 As I said, this is the National Guard's. Theirs is
5 set up a little different. Some of the volunteers
6 questioned the clear glass windows that go out to
7 outside that, had they actually been contaminated,
8 the first thing you do is, of course, strip down and
9 then go into this clear glass, you know, building
10 here. So --

11 GAIL CURRY: That might be a little --

12 TIM DUNN: There's some things like that.
13 Again, the National Guard doesn't care about those
14 sort of things, so --

15 Typically, you'll put somebody through the
16 shower twice. If they still come out contaminated,
17 then you have to start looking for internal
18 contamination or maybe see about where they were,
19 how they were, you know, if it's some other --
20 something else other than contamination. Like if
21 they had a stress test lately or something.

22 PAUL BURRESS: Do they get special instructions
23 like blowing their nose, or what to wash first, like
24 eyes, mouth?

25 TIM DUNN: Yes. There is charts that the CDC

1 has that tells them to lean back, don't let the
2 water rush into your face. Of course, if you have a
3 wound, wash away from the wound, not into it. Do
4 not scrub. The old wire brushes from the China
5 Syndrome movie, that just opens up the skin and
6 drives it in deeper, so there's never a need for
7 that. Never.

8 Most decon, 80 to 90% will come off of you. If
9 you're standing in the middle of the fall out cloud
10 and you took off your normal clothing like what I'm
11 wearing today, 80 or 90% of the contamination will
12 come off of you right then. So you have very little
13 you have to worry about.

14 Our biggest concern is the nose, mouth, face
15 area because that would be going internal, which
16 would be a whole lot more of a mess.

17 PAUL BURRESS: Is there an alternate decon
18 instead of just a shower, like wet packs?

19 TIM DUNN: There is.

20 PAUL BURRESS: They got all that covered?

21 TIM DUNN: That was brought up during this one
22 because the first group that went through, as soon
23 as they found out they were contaminated, they went
24 into the tent. So that's when we said, okay. If
25 it's only on their arm, just decon their arm and

1 then, you know, if they're clean, send them on their
2 way. So we did do though during this. As I said,
3 we had the National Guard, which their method is,
4 whoosh, get out the hoses, you know.

5 RANDY SCHENKMAN, CHAIRPERSON: Well, they
6 wouldn't bring clothes, so what do they change into
7 after?

8 TIM DUNN: We have tie back suits that we give
9 the people as they come out.

10 WILLIAM ATHERTON: And the waste water is not a
11 concern?

12 TIM DUNN: No. This one here had a basin they
13 collected. At the end, if it actually is used, they
14 dispose of the whole thing.

15 WILLIAM ATHERTON: What about using a public
16 shower?

17 TIM DUNN: If you're at a public shower, you do
18 not worry about contaminating the water. By the
19 time it goes into the sewage system, it's going to
20 be so diluted. It's a concern, but not as big a
21 concern as getting the contamination off of the
22 people and keep from spreading it.

23 If you can contain it into a lake, into a run
24 off, if you're out in a parking lot or something,
25 that would be fine, that would be great. You do

1 what you can on that one.

2 Go on to the registration. At that point, go
3 ahead and document again, their name, where they're
4 going, where they were at; all that. So that will
5 be the stuff that we'll be tracking them for the
6 rest of their life and then some.

7 And then discharge. Find out whether they need
8 counseling, because this would've been something
9 that psychologically would affect a lot of people.
10 Even if, like I said, they were no where near it,
11 we're going to have the worried well. We still have
12 to deal with those. See if they need to get
13 reunited with their loved ones because they may have
14 been split up. So the Red Cross has a set up to do
15 that. Then see if they have to go it a shelter or
16 anything like that.

17 If you're interested in the community reception
18 center idea, the CDC does have a virtual one on this
19 website here. And you can actually go through and
20 each, at each one of those steps that I just went
21 through, has questions and answers. It shows a
22 video of people actually going through it and then
23 it has the list of what you will need to set up each
24 station if you're interested in that.

25 And James, as you mentioned real quick, some

1 upcoming things we have: Southern Exposure 2015.
2 The week of July 20th in South Carolina, we're going
3 to play with the IDEWI, with FEMA, NRC, in a
4 large-scale nuclear power plant drill. We did one
5 of these a few years back. Southern Crossing, I
6 think it was, 2006.

7 JAMES FUTCH: In Dothan.

8 TIM DUNN: In Dothan, Alabama. And basically,
9 what this does, it brings all the state and federal
10 people together.

11 In the unlikely event that we have a nuclear
12 power plant accident, the state can handle it and
13 locals can handle it for about three days. At that
14 point, my manpower is going to be exhausted. Our
15 resources may be exhausted. We're going to bring in
16 the federal government. DOE will be coming in,
17 DNDOE will be coming in with their equipment and
18 then FRMAC, which is Federal Radiological Assessment
19 and Monitoring System.

20 And they would come in also with planeloads
21 of equipment and personnel. They've got them on the
22 ground ready to go. All they do is fire it up and
23 come on over.

24 As you see here, these are the people that will
25 be joining us with all that. They didn't put

1 Florida on this because they didn't know we're
2 coming yet when they made this one.

3 And that same day -- that same week, rather,
4 we're going to be doing Wings, which is going to be
5 the aerial monitoring. Florida will be
6 participating in that. We will have -- we have an
7 RSI unit. I think we're going to talk about later
8 James, some of it.

9 We have a mobile unit which right now contains
10 three gamma detectors and one neutron detector that
11 we can put in the back of a vehicle, on an airplane,
12 on a helicopter. We did two exercises in
13 Tallahassee back in December and January with the
14 FWC, FHP, the Army, DOD. You name them.

15 JAMES FUTCH: Alphabet soup.

16 TIM DUNN: Yeah. We were all doing ground and
17 air. We would actually have the air units trying to
18 detect us in the woods. As we're running, the
19 ground units would have to intercept us. FHP does
20 have mobile units. They've got five of them right
21 now on the road that the entire back of a Yukon is
22 nothing but an instrumentation for radiation. And
23 they would find us and then they would chase us and
24 it was quite a bit of fun. Had the Black Hawk
25 helicopter chasing us going through the woods.

1 That's it right there.

2 JAMES FUTCH: The Black Hawk is the -- Wings,
3 this particular part of is the first time they ever
4 allowed the states to participate in an emergency
5 response exercise like Southern, excuse me, Southern
6 Exposure. Usually it's the AMS folks from the
7 Department of Energy, with their large detectors.

8 But this time around, it's one aircraft from
9 Florida. I saw one from, I think it's Philadelphia,
10 actually I saw Ed Valdini's (ph) name on it. I think
11 it's his group and another from the Suffolk County,
12 New York folks. There's at least three states
13 involved.

14 We're not doing any preventive work. This is
15 all response. So they are mapping contamination on
16 the ground and using real, real contaminated sites.
17 They've got seven or so actual contaminated -- some
18 of those are actually contaminated places; some of
19 those will be simulated. And so we've got a week's
20 vacation in South Carolina in July.

21 TIM DUNN: Middle of July. No breeze at all.

22 Any questions over that? I went through it
23 quick. I was told to speed it up.

24 PAUL BURRESS: What about the vehicles that
25 transport them? Does somebody go out in the parking

1 lot and monitor things?

2 TIM DUNN: We will. Typically, if the person
3 inside is contaminated, we automatically assume the
4 vehicle is. So those vehicles will stay put.
5 They're not going anywhere.

6 And the clean-up effort depends on who and
7 what. If it is due to a nuclear power plant, they
8 actually have insurance, A&I, which will help pay
9 for the clean up everything and EPA will help make
10 sure it gets completed. If it's because of a
11 terrorist attack, the FBI takes over. All that
12 becomes evidence. At that point, nothing goes
13 anywhere because they want it all for evidence.

14 Any other questions?

15 JAMES FUTCH: Thank you, sir. Appreciate it.
16 Thank you very much. Nice pictures.

17 TIM DUNN: You like that?

18 RANDY SCHENKMAN, CHAIRPERSON: Okay. So now
19 we're going to go, Brenda is going to talk about
20 boards and councils, new appointments, procedures
21 and process.

22 BRENDA ANDREWS: Okay. Some of you already are
23 aware that we have a new policy in place, but I'll
24 give you a little bit about the background.

25 Historically, when a member's term comes to an

1 end - their three-year term - and I'm talking
2 particularly about our council because different
3 councils in statutes have different terms. For
4 our council, it's three years. And when a
5 member's term comes to the end, normally, we
6 submit a packet for reappointment, automatically,
7 if they are interested in continuing.

8 The Surgeon General has instituted a new
9 policy -- while it's still in draft format -- but
10 we are caught in the middle of his new policy for
11 our appointments and reappointments. And Kathy- I
12 mean, was it Carol and Bill -- let's see who else,
13 Carol, Paul, Alberto and Bill, you remember back in
14 November, you received letters that your terms were
15 going to be extended for six months instead of the
16 three-year reappointment. That's because when we
17 submitted that packet, it got caught in the middle
18 of them revamping the system. So rather than not
19 continue you, they did it for six months.

20 Well, what happened, that caused eight members'
21 terms to end at the same time for us, which I don't
22 think has ever happened with the council before. So
23 we have about four or five members whose terms will
24 be ending July 1st and the rest of them will be
25 June 30th. So that means we're in the process of

1 submitting appointments -- not reappointment
2 packages to the affiliated societies for them to
3 submit nominees for those positions.

4 The letters that I sent out recently for those
5 of you whose terms are ending, gives you the
6 opportunity to reapply or apply if you're still
7 interested in being a member on the board, and most
8 of you have decided to do that. We do have a few
9 members who have decided to retire. Right now there
10 are three. Mr. Richardson is one of them, who
11 won't -- decided not to continue on the board right
12 now.

13 So the next step for us is to submit
14 letters to the societies, they will provide
15 nominees. We will also submit the names of all the
16 members who wish to continue to the society as well.
17 But he wants to open up the opportunity for other
18 people to have a chance at being members if they
19 qualify for those positions.

20 Also, the SSG wants to limit terms to
21 eight years. And I think they got the eight-year
22 term in there because in Statute 20.052, which
23 talks about four-year terms. So they are allowing
24 two-year terms, but they didn't completely consider
25 the fact that not everyone's terms fit in

1 those categories.

2 So technically, what that will means for us
3 is that after two terms, we will have to go
4 through the vetting process because we have
5 three-year terms.

6 So right now, I'm preparing letters to go out
7 to the Societies that nominated each
8 of you that are -- whose terms are ending.
9 Once we get information, names back from those
10 societies, they're going to go through [James
11 mainly] a vetting process. He has his work cut
12 out for him. He has to review all the applications.
13 Those persons will be sent new, updated question-
14 naires. And most of you know about the question-
15 naires, but they have changed somewhat. So anyone,
16 who filled out one the last time, you'll have to
17 do it again because these are new.

18 Once we get all that information back in and
19 the and any backup information from the interested
20 people, James will have to go through the process
21 of determining who will be put forth as the
22 recommended person.

23 Additionally, a new piece they've added is we
24 have to interview all of the nominees. Physically
25 interview everybody.

1 JAMES FUTCH: This all sounds workable, doesn't
2 it?

3 BRENDA ANDREWS: That's an added piece they've
4 also put in there. And also, this all has to be
5 done for this session of terms ending by May 30th.
6 That's just --

7 CHANTEL CORBETT: The end of this month?

8 BRENDA ANDREWS: -- even more delightful.
9 Technically, we're supposed to submit the
10 appointment package to the Surgeon General for those
11 terms ending July 1st and June 30th, by May 30th,
12 because he wants them 30 days prior to the term
13 ending.

14 So that's why when I sent out the e-mails to
15 everyone, I said -- I gave you the 8th to respond
16 back to me -- which I thank you all for being so
17 cooperative and helping me with that. I know that
18 was like, really? But it was because of the
19 timeframes that we're working under right now are
20 very strict, as you can see.

21 So I think -- in your packet, I've put in new
22 questionnaires so you can see what the questions
23 are, you know, upfront.

24 I do have -- most of you have e-mailed me who
25 wish to return to the council. If you have not

1 responded to me, I would need that in an e-mail
2 format so that I can put your written response back
3 to me with the packet to send it through.

4 So any questions about any --

5 WILLIAM ATHERTON: The new questionnaire we're
6 supposed to fill out and send it back to you?

7 BRENDA ANDREWS: Not this. Not at this time.
8 Right now I just need verification in writing that
9 you want to continue. Or if you've decided that you
10 want to resign the position, I need to have those
11 things in writing.

12 JAMES FUTCH: That's just for the people who
13 are expiring.

14 BRENDA ANDREWS: Just for the people who are
15 expiring.

16 JAMES FUTCH: We have two dates now.

17 BRENDA ANDREWS: And in your letters I sent
18 out, I did give you the dates because some were July
19 1st and some were June 30th. So if you fall into
20 that category, you've gotten a letter from me, just
21 make sure you get back with me. It doesn't have to
22 be an elaborate, long letter. A paragraph, just
23 letting me know what your decision is and I can send
24 that through.

25 I have to prepare the letters this week for the

1 societies to get those out to them so they can do
2 their part and get names back to us. It's a lot of
3 work to be done in a very short time frame for us.

4 Any questions about that, though?

5 JAMES FUTCH: Let me add that we very much
6 appreciate all of you for taking the time over these
7 many years, and hopefully continuing to do so in the
8 future, to help us with this council. I don't have
9 the purview from on high and Brenda's been to a
10 bunch of meetings. She's a little bit closer.

11 My feeling that I've come to is that there are,
12 there's a -- perhaps there were some maybe councils
13 that weren't doing things as we have always done
14 them, and getting new members through societies and
15 giving people opportunities. This may be a
16 reflection of that. Again, as Brenda said, it's
17 something of a moving target. It's something that
18 hasn't been implemented. It may end up in a
19 different place.

20 So we would very much -- for me personally, I
21 won't speak for the organization -- but for me
22 personally, I would very much, if you would like to
23 continue to serve, please do so. We would very
24 much appreciate that. And we've always gotten
25 excellent support from all of you. So that's enough

1 from me.

2 BRENDA ANDREWS: Any questions about it?

3 (No Response)

4 BRENDA ANDREWS: So what will happen is, when
5 we get the names, of course, like I said, your name
6 of those who want to go forth, will receive a letter
7 from us indicating that you've been nominated. The
8 packets will go out to you and we'll go through the
9 same type of process that we went through the first
10 time you were probably appointed. And then the
11 packet, that will go through. And then once the
12 recommended person is put through, then the Surgeon
13 General is going to vet everyone.

14 So it doesn't exactly end with the person we
15 recommend. The Surgeon General has that final
16 decision to either choose another person within the
17 package of nominees or accept our recommendation.
18 So it's somewhat out of our hands a little bit.

19 JAMES FUTCH: Just like he's always had.

20 BRENDA ANDREWS: Yeah, he's always had that,
21 but it was more automated before.

22 RANDY SCHENKMAN, CHAIRPERSON: Okay. Any
23 questions on that?

24 James, it's --

25 JAMES FUTCH: Let me --

1 RANDY SCHENKMAN, CHAIRPERSON: -- your turn.

2 JAMES FUTCH: We're a little behind the ball
3 here, let me, before I launch into this thing, with
4 your permission.

5 RANDY SCHENKMAN, CHAIRPERSON: Sure.

6 JAMES FUTCH: Ask if there's any old business
7 or anybody has any new particular issues they want
8 to discuss.

9 Brenda, do we have a suggested next date we
10 want to --

11 BRENDA ANDREWS: I do have calendars in your
12 packets for you to look at for us to discuss when
13 you want to meet next time. It's just, it's before
14 A, so it's right after the agenda. You know,
15 normally we meet what? September?

16 JAMES FUTCH: October.

17 BRENDA ANDREWS: October?

18 JAMES FUTCH: If we stick to Tuesdays, 6
19 October. Anything standing out as particularly bad
20 or particularly good? If not, let's put it down for
21 October 6. Then we can all get back with Brenda if
22 that turns out to be a sticking point somewhere.

23 BRENDA ANDREWS: Okay. October 6 is our
24 tentative date now.

25 PATRICIA DYCUS: Here? Tampa?

1 BRENDA ANDREWS: Are we going to come back to
2 Tampa or do you want to go back to Orlando? Anybody
3 have a preference?

4 JAMES FUTCH: I've got a coin.

5 RANDY SCHENKMAN, CHAIRPERSON: It's hard for us
6 from Miami to get here. I will say that.

7 JAMES FUTCH: Yeah, we lost one person because
8 of that.

9 RANDY SCHENKMAN, CHAIRPERSON: There's no
10 morning flights for us.

11 JAMES FUTCH: Any objections to Orlando?

12 (No Response)

13 JAMES FUTCH: Let's go back to Orlando.

14 BRENDA ANDREWS: Okay.

15 JAMES FUTCH: So last thing. Let me run
16 through this real quick. If you thought Tim talked
17 fast, watch this.

18 GAIL CURRY: I've never known James to be fast,
19 let's see how this works.

20 TIM DUNN: That's why he wanted me to go first.
21 I went fast. He has more time.

22 JAMES FUTCH: Exactly.

23 So Tim actually did some of the groundwork for
24 this issue. When he was talking about the Wings
25 exercise, it's amazing to me after doing this for so

1 many decades now, we used to be mostly involved with
2 emergency response. 9-11 happened and we got
3 involved on the preventive side of things trying to
4 keep that from happening. So the two have kind of
5 come back together in that Wings exercise, really,
6 for the first time for us.

7 On the preventive side, we've been building the
8 capability with law enforcement and other folks to
9 use detectors in the ground units, on the officers,
10 themselves, and also in the air. So this is an
11 exercise the Domestic Nuclear Detection Office tried
12 to do in Camp Blanding with some federal resources
13 and some state resources. They picked Florida
14 because Florida, frankly, has it together and has a
15 really good reputation around the country when it
16 comes to preventive work.

17 And the idea behind this pilot was to come up
18 with optimal con-ups for how you combine aerial
19 detection with ground detection in a lot of
20 different scenarios. So that's the whole thing.

21 The short story is, Blanding, they've got
22 basically some problems. They've got -- had to move
23 from Blanding. They looked for an alternative site
24 and there's nothing that's really like Blanding with
25 entire concrete cities built out in the middle of

1 the woods where nobody -- neighbors don't really
2 worry about what you do.

3 So they came up with Tallahassee, because it
4 turns out Tallahassee, the airport is located right
5 next to this beautiful national forest and it's in
6 the Panhandle, so it's not, you know, densely
7 populated like south Florida. So that's how it
8 ended up in Tallahassee. And I'll go from here.

9 So that's a little bit about what I just said.
10 Here's the people involved. Look, I provided a list
11 of acronyms. Yes.

12 GAIL CURRY: You may want to take notes.

13 TIM DUNN: Yes.

14 JAMES FUTCH: You won't have time to look at
15 them, so it doesn't matter.

16 This is what are we doing, you heard this many
17 times. Certain types of things, dirty bombs, Richie
18 talked about improvised nuclear devices, the
19 exposure devices like the nuclear med tech who put
20 the gadolinium line source in the supervisor's chair
21 in Naples in 2006 on the day he was fired. All
22 sorts of reasons for --

23 TIM DUNN: I met him.

24 JAMES FUTCH: I like to tell that story.

25 All right. Here's the standard picture.

1 Here's how we interrupt the process. I'll get
2 through all this because it's not the fun part.

3 Here's the domestic nuclear detection
4 architecture we've been working around the country.
5 Nested levels of detection and et cetera, et cetera.
6 Lots of water access.

7 PRND equipment, this is our -- Tim mentioned
8 RSI system. On the left-hand side, the silver thing
9 is the neutron detector. On the right side, the
10 black thing is the gamma radiation detector. In the
11 middle with the wires, that's the computer brains of
12 the whole operation. And the thing on the bottom,
13 the green thing is a very expensive 12-volt battery
14 that is very light and lasts a long time. So this
15 can go in a car or this can go in airplane, you can
16 augment the capabilities by adding more and more
17 detectors. The bigger detector, greater chance of
18 catching a gamma ray. That's always good.

19 I won't go through all the individuals -- these
20 are the individual components. Okay. I just
21 described each of them. That's the neutron.

22 We can, in the sky, through the miracles of the
23 cellular network and modern internet communications,
24 we can on the ground, physicists be in constant data
25 uplink with the plane; watch what they are watching,

1 help the pilots flying the plane. In some of the
2 cases here, we actually fly in the helicopters.

3 The agency -- that's kind of weird. One agency
4 with the smaller plane, has to have two pilots.
5 There's no room for any physicists. The other
6 agency has nice big helicopters. We like
7 helicopters. We fly as the operator in the
8 helicopters.

9 This is our RAD assist program, which is the --
10 this is what, either the cops or we are looking at.
11 Spectral waterfall on the left-hand side. You see
12 the naturally occurring isotopes forming those
13 patterns. The big fat one in the middle is the 1460
14 line for Potassium 40. So anyway, that's the
15 interface.

16 Mapping view, how do you teach pilots to do
17 this? And, you know, in systems like the Haughey
18 that sucks, like, 90 gallons an hour of fuel and it
19 costs like multiple thousands of dollars to keep it
20 up in the air, how do you teach pilots to use
21 radiation detectors? You put them in cars on the
22 ground and you show them how to drive around sources
23 and do it that way.

24 So the different colors. The red is a hit.
25 There's some sort of a source over here in the

1 trees. And look green, green, green. As you get
2 closer, oh, higher readings, bing, bing, bing, bing,
3 bing, you know, audible or visual feedback to the
4 pilots.

5 Agencies involved. The first thing we did was
6 we had to train them. Some of the agencies were
7 relatively newer to this; some have been doing it
8 for a while. These are the ones that were involved.
9 We got Department of Energy who have been doing for
10 a while to come down and teach the class. December.
11 We're trying to get them to use these.

12 Here's the classroom representation. Ron Smith
13 from the RAP III in Savannah up front.

14 If you're going to do mapping for response
15 purposes, like Wings, then you try and find
16 contamination, you have to get pilots to fly in nice
17 even patterns so you don't miss something on the
18 ground. Pilots, any pilots in the room? Okay.
19 Good. Wait a minute. Tim, there's one. Sorry. No
20 offense intended.

21 So you ask any pilot, can you fly straight from
22 here to there? They will say, sure, I can fly
23 straight from here to there. Well, that's true,
24 because what they care about is basically getting
25 from here to there, you know, in a relatively

1 straight line. They don't worry about minor
2 perturbations in height, little perturbations of
3 tens of feet to the left or to the right, but when
4 you're going to do this, you have to be exactly
5 parallel, you're going to miss something. So we
6 have to generate flight plans to put in their
7 navigational computers so that they have a
8 three-dimensional ability when you get to the end of
9 one of those rows and turn around and come back,
10 that you're actually parallel to where you were just
11 before. You don't have anything in space next to
12 you to look at for the pilots that like to look at
13 visual references. A lot of flight planning was
14 done.

15 Briefly, we generated maps. Here's some of the
16 helicopters. There's the big Haughey in the
17 background and a Bell Long Ranger in the front.
18 That's a typical corporate helicopter that has an
19 extra door in the middle where basically, you would
20 open it and put like a stretcher, for example. But
21 paint and thrift WCs purposes.

22 This is the system in the Long Ranger. One of
23 the RAP folks there from Savannah River.

24 This is, in the Haughey, it's big enough that
25 they actually have an externally mounted pod. It

1 goes on a skid, et cetera, et cetera.

2 This is FHPs edition. They've got a Cessna.
3 And that's a couple folks, one of the pilots there
4 on the left, DJ Torres from Miami in the tan jumper.

5 This is the three detector configuration in
6 the back seat of the Cessna. They take out the back
7 seat; they put this on the floor. And we actually
8 now, because of some additional purchases, we have
9 five detectors we can put into a plane. That's what
10 we're going to carry up to South Carolina this
11 summer.

12 FHP grounds units. That's the Yukon/Suburban,
13 filled with detectors in the back.

14 In the forest, here's one of the exercises.
15 Four vehicles running across the forest road. One
16 of them has got the source in it. It's their job
17 from the air to figure out which truck has the
18 source in it. So that was an exercise we did.

19 Here's what it looks like from a mapping
20 configuration, that exact exercise. Lots of
21 circling of the road where you can see the trucks.
22 Blowing up this area here, you can see there is a
23 little bit of a hotter signature on the left side of
24 the road versus the right side of the road. And
25 most of the time, you guessed right. At least it's

1 probably a vehicle on that side of the road. On the
2 left. So pretty good distinction from the air.

3 RAD-assist view of what a hit looks like.
4 You can see the perturbations here in the natural
5 flow of the gamma from the naturally occurring
6 isotopes. And you can see a big peak over here.
7 It's not that hard to figure it out. And actually,
8 most of the time you can figure out not only that
9 there's something there, but what isotope it is from
10 the air.

11 Sources along a road. Another variation.
12 You've got one kind of material here, you got
13 another one here and you've got something in the
14 middle that's weaker. You can go back and forth,
15 figure out what it is. Can you see the thing in the
16 middle? No, you can't see the thing in the middle.
17 That's unfortunate, because that was that the highly
18 enriched U-235 that you might want to use for an
19 atomic bomb.

20 This is a map from some of the flight plans in
21 the woods. This is initial contact. This is lots
22 of chasing through the forest until you finally nail
23 them up here on Highway 20.

24 So that was a lot of practice. The actual
25 exercise where they're going to figure out the

1 con-ops, that happened in January. The same
2 agencies involved except we threw in -- I always
3 forget which one is which here, Tim. The one would
4 be, Wings is probably the aerial guys.

5 TIM DUNN: 244th.

6 JAMES FUTCH: The Army has the helicopters
7 flown by one part of the Army and the specialist
8 guys with the degrees in physics, who come along to
9 run the radiation system, come from a different part
10 of the Army.

11 Thirty-six scenarios, four missions a day were
12 attempted. Ground only. So you do the thing first
13 on the ground, then you do it in the air. Then you
14 combine the two and then you repeat that over and
15 over again. Of the 36, they completed 29. They're
16 still assessing the data.

17 Here's some of the exercise locations, more
18 places in the forest. Again, pictures of ground
19 units. Airplanes in the air. There's some of our
20 guys next to the helicopters where we're the
21 operators.

22 I've got to tell you, there's many things I've
23 done in 27 years, but very few match this for
24 personal satisfaction. Not that that's why we do
25 it, but it makes a lot of difference.

1 Here's the Black Hawk. The big white things
2 are full of some of those crystals that you saw
3 before in our system. I forget the exact number. I
4 probably shouldn't say it anyway. Lots and lots of
5 crystals. Black Hawks are fun to watch, fun to
6 listen to and very effective ways to get around.

7 Here's a ground unit from the Army. Not very
8 visually impressive, but very effective on the
9 ground. The back of it.

10 Here's a source. We have some **shoot houses** and
11 some places in Tallahassee and they will put a
12 source in a building. We'll try and detect it from
13 the air and see if we can detect it from the ground.

14 Here's some sources from Oakridge in a
15 classroom building. We had one of the police
16 academy locations. Again, trying to see it from the
17 air, through the roof and all the rest of it.

18 And here's Tim on the left actually, getting
19 ready for some moving sources and some folks from
20 Oakridge. And we actually employed both -- many of
21 the typical sources that Richie talked about before
22 for the real-world flavor.

23 Here's all the exercises in great gory detail.
24 Mostly you can see there's just lots. And here's
25 what it looks like on a daily calendar. Lots of

1 flights per day. Very successful. Helicopters
2 over, you know, police academy buildings, shot out
3 the window of the Black Hawk. Like the little logo
4 they got on side of the -- but that building right
5 there in the background was the one you'll see in
6 some of the pictures coming up.

7 Inside the Black Hawk. Some operators running
8 systems. Here's that classroom building. The air
9 has detected something in that classroom that you
10 saw before with all the yellow cans open. It's up
11 here. So this is the FHP ground unit, you know,
12 being directed by the air. Going around. Because
13 the air can't tell exactly where. Somewhere in that
14 building we think or maybe it's near by.

15 So after the ground unit comes in, here's the
16 folks with the handheld instruments trying to find
17 it and look at the guy on the right, he finally
18 figured out it wasn't the classroom on first floor,
19 it's the one on the second floor.

20 This is the view from the air. Source vehicle
21 is on the left. And source and helicopter on the
22 right. Mapping view, red spots are detections. And
23 it went like this for a while.

24 So that got all this data and then they wanted
25 a real-world implementation of it, so FHP is always

1 involved with a lot of the races. Twelve Hours of
2 Sebring was coming up in March, so they came down
3 and flew some of the -- tested a little bit of the
4 con-ops at Sebring. And this is an example of what
5 that looks like.

6 This is an FHP Cessna patrolling basically the
7 roads, the track that was over here, if you've never
8 been to Sebring. So these are the main roads.

9 And all this is, by the way, is just natural
10 variation in the naturally occurring background.

11 And so this is kind of common sense -- 67
12 different sources were detected first by air assets.
13 That's good. Forty-eight percent were identified
14 first by air assets. That's not always -- people
15 don't always realize it's not that difficult to pick
16 up, for example, Cobalt 60. It's got a very nice
17 signature that you can tell from, you know,
18 Potassium 40, for example. And then, you know,
19 working together, 65% were localized best by
20 combined air and ground teams.

21 TIM DUNN: I wouldn't say quickly. I was
22 moving pretty fast down that road. It took them a
23 while to catch me.

24 JAMES FUTCH: There was a hefty dose of that.

25 TIM DUNN: Well, there were the, BRC was

1 allowed to run with our sources open, whereas they
2 weren't allowed to.

3 JAMES FUTCH: Yeah, there's different
4 regulatory considerations in different places.

5 Pros and cons. Aircraft definitely adds range.
6 Wider field of view, 500, 1000 feet, that will do
7 that. You can get to areas the ground can't get to.
8 I can't drive in a swamp very easily.

9 Let's see what else? I'll pick out a few of
10 these.

11 Airplanes, this is interesting. Airplanes are
12 great for getting there if it's something farther
13 away. If it's close by, the ground is always going
14 to get there first because getting these birds into
15 the air and operational, there's a little bit of lag
16 time. Whereas FHP ground units, they pop in and
17 they drive there very quickly. If you get something
18 very much farther away from where you start, then
19 the airplane has an advantage, especially the
20 Cessna.

21 And of course, cons, weather restrictions, you
22 can fly or you can't fly.

23 This is -- how much time have we got? Are we
24 done?

25 Basically, this is Jacksonville. We did

1 another aerial exercise. This is one of those
2 shoot-them-up that we're demonstrating a port
3 authority type thing. The guy in the middle is a
4 source boat with some of our guys and some sources,
5 and the assembled law enforcement on sea and in the
6 air tried to find them. That's the source. You can
7 see they really don't want to lose that over the
8 side. That would kind of mess up Jacksonville's
9 port probably from a navigation perspective.

10 These are some of our folks on the left there.
11 Lots of maneuvers. Helicopters chasing people. Our
12 guy operating a helicopter.

13 This is what it looks like from the ground or
14 from the air, rather. You can see detection right
15 here of the source boat. And the helicopter has
16 been looping around until it finds the source boat.
17 Again, detection looks like the same on the screen.

18 Law enforcement folks chasing -- now they've
19 got the boat, they're going to bring it over to the
20 side. Pointing the guns. They're doing a lot of
21 those. They've captured some people, including our
22 fellow, he's right here.

23 And, you know, you'll like this one. Plastic
24 cuffs. I like this one. This is a one of the
25 pieces of radiation detection gear. Basically, you

1 have the health physicist even at the end, under
2 cuffs as the bad guy still explaining to the law
3 enforcement officer how to use the radiation
4 detection.

5 And Tim talked about Wings. I won't bother.
6 Those are the places where we're going to be flying.

7 This is an actual nuclear power plant. And the
8 rest of these, one of these is Barnwell. I can't
9 see where it is.

10 CHANTEL CORBETT: Southern, West.

11 JAMES FUTCH: Acronyms again. So that's it.
12 That wasn't that bad.

13 TIM DUNN: That's as fast as James has talked.

14 GAIL CURRY: I'm telling you. Somebody better
15 write that down.

16 CHANTEL CORBETT: She's got it.

17 GAIL CURRY: Yeah, she's got it. It is
18 documented.

19 JAMES FUTCH: Randy, anything else?

20 RANDY SCHENKMAN, CHAIRPERSON: Brenda asked if
21 anybody wants to see any of this in detail.

22 BRENDA ANDREWS: E-mail me and I can get
23 copies.

24 RANDY SCHENKMAN, CHAIRPERSON: She can get
25 handouts for you.

1 TIM RICHARDSON: Madame Chairman, this is my
2 last meeting and I just wanted to say it's been a
3 pleasure serving with you all. It's just time for
4 me to go out to pasture. I haven't been to the
5 clinic except for my own personal CT. And so, it's
6 really time to -- I teach a course and that's about
7 it. It's been great serving with you all.

8 RANDY SCHENKMAN, CHAIRPERSON: Well, we
9 appreciated your being here.

10 JAMES FUTCH: Don't forget it's a public
11 meeting. You can still come back if you want to
12 hang around and watch a meeting or two.

13 TIM RICHARDSON: Okay.

14 JAMES FUTCH: Drive down yet again to Tampa or
15 Orlando.

16 RANDY SCHENKMAN, CHAIRPERSON: Put in a little
17 input.

18 JAMES FUTCH: Thank you, Tim. We're appreciate
19 it.

20 ALBERTO TINEO: Are we going to put the NMTCB
21 stuff, their request for the next agenda or how we
22 going to do that? What is the next step I guess.

23 JAMES FUTCH: I think we kind of go back and
24 think about this. The part for me was, one of the
25 big questions that I had would be solved by ASRT

1 using the same scope of practice. I've still got,
2 you know, some questions about the 500 and using
3 the -- I kind of, you know, me personally kind of,
4 it would've been nice if we could've used a
5 combination of ours maybe and a certain number of
6 minimum procedures.

7 CHANTEL CORBETT: And I think they could if we
8 could put some type of clause in there to allow the
9 people who are specifically doing those exams to be
10 able to do the exams in Florida. That's still your
11 caveat there. I think that they, the NMTCB would be
12 more than open to any suggestions like that if we
13 could figure out a way on our end to allow that.

14 JAMES FUTCH: Thoughts? What's the desire?

15 ALBERTO TINEO: Well, eventually, we're going
16 to have to deal with it one way or other and I think
17 we need to just decide how we're going to proceed so
18 that they have a way to say, yes, it's going to
19 come, it's going to satisfy. So I think we need
20 to -- I don't know.

21 JAMES FUTCH: We'll talk about it again, I'm
22 sure, next time.

23 KATHY DROTAR: And going back to the society
24 and to ACR, that they haven't been recognized by ACR
25 as technologists that can perform. Traditionally

1 anything that we do as technologists first gets
2 approved through ACR and goes down.

3 BRENDA ANDREWS: So we're going to put this on
4 the agenda as a follow-up item for the next
5 meeting --

6 JAMES FUTCH: That's fine with me.

7 BRENDA ANDREWS: -- for sure?

8 RANDY SCHENKMAN, CHAIRPERSON: I think they
9 need to give us our -- or find out when they think
10 the ACR will be addressing this and --

11 KATHY DROTAR: ASRT.

12 CHANTEL CORBETT: You say ASRT is looking at
13 the x-ray portion as well?

14 KATHY DROTAR: I think that's coming up for the
15 review in the next two years, somewhere in there.

16 CHANTEL CORBETT: I presume that's all at the
17 same time. If they're going to add CT to the x-ray
18 side.

19 RANDY SCHENKMAN, CHAIRPERSON: So if anybody
20 can find out when that's going to come up, because
21 those -- it should be addressed by those before we
22 make a final decision one way or another, I think.

23 YVETTE FORREST: Wait until they make -- before
24 we address it again.

25 RANDY SCHENKMAN, CHAIRPERSON: Exactly.

1 JAMES FUTCH: I'm sure Cybil will take some
2 feedback and John will take some feedback just from
3 the discussion. They can actually request a copy of
4 the minutes, too, and go look at the questions, take
5 notes, I'm sure, of this.

6 You know, kind of personally for me, it's like,
7 okay, the way I hear what you're saying so far is,
8 there are a few issues that we probably ought to
9 address like the ones you just mentioned, and
10 although they're going to be offering more of these,
11 you know, it's not large numbers of people who are,
12 you know, it's five per basically, you know, every
13 time they go through, there's about five more that
14 come to Florida. And there is another alternative
15 at the moment. It's not like they can't go
16 somewhere else. And it's a pathway that a lot of
17 people have taken so far. So I don't think there's
18 a pressing need to make a decision right away and it
19 would be good to wait for the response from these
20 particular ASRT and ACR. That's me.

21 RANDY SCHENKMAN, CHAIRPERSON: I agree.
22 Anybody else have any comments?

23 MARK SEDDON: I think that the climate is
24 moving towards more training and education
25 requirements for CT operators in general from the HR

1 perspective. So that's also some consideration that
2 hospitals are moving towards requiring CT certified
3 technologists. Most of mine do. So if we're
4 looking at this being an equivalency to the SRT, or
5 ARRT CT certification, we have to make sure it's
6 meeting the same intent as far as the training.
7 Didactic training and also the --

8 CAROL BONANNO: Another thing to look at is
9 down the road, Medicare is going to require to
10 reimburse a CT scan, it's going to have to be done
11 by a certified CT tech.

12 MARK SEDDON: Right.

13 CHANTEL CORBETT: The joint commission is
14 looking at 2018 potentially having a requirement for
15 the CT certification.

16 CAROL BONANNO: People want to get reimbursed.
17 They want to get --

18 CHANTEL CORBETT: But cancer centers won't want
19 to hire two or three techs to run one machine.
20 That's where they are at right now.

21 MARK SEDDON: I think, going back to her point
22 is, there's no pathway for them to get their hours
23 of training right now in Florida.

24 CHANTEL CORBETT: They may get hours, they just
25 can't get the exams.

1 MARK SEDDON: Well, yeah, they can't get the
2 exams because they exams would be just doing the PET
3 CT portion, which is not really the intent.

4 PATRICIA DYCUS: I don't get the button pushing
5 portion part of it.

6 CHANTEL CORBETT: That's just the regs.

7 PATRICIA DYCUS: Right, but it's not the button
8 pushing that we want to train them to do.

9 CHANTEL CORBETT: I know. That's what I'm
10 saying. They can get the didactic --

11 RANDY SCHENKMAN, CHAIRPERSON: They don't have
12 any responsibility, really, for the CT part if they
13 are not --

14 PATRICIA DYCUS: I know, but as part of it,
15 they can do everything except that. They should be
16 doing it under the supervision of someone who can
17 push it.

18 RANDY SCHENKMAN, CHAIRPERSON: Exactly.

19 CHANTEL CORBETT: Well, the contrast
20 administration, that type of thing is already under
21 the nuclear medicine scope of practice, so that's
22 not an additional --

23 PATRICIA DYCUS: Right, so what I'm saying,
24 whoever they are training with, their supervising
25 technologist or physician, can push that button once

1 they've done everything, and they can count it as
2 their exam, correct?

3 CHANTEL CORBETT: Not according to the ARRT
4 wording.

5 KATHY DROTAR: I don't think according to state
6 regulation that they can do that because it's more
7 than observing. It's actually doing it and moving
8 the patients.

9 JAMES FUTCH: The only thing that's a little
10 easier is since -- and correct me if I'm wrong on
11 this -- but since NMTCB has written the 500 and such
12 that you can count your CT experience as nuclear
13 medicine, from nuclear medicine PET CT procedures,
14 that you are fully licensed to do in Florida.
15 That's a little easier for them.

16 Now, whether or not that's relevant, and I
17 don't know, I've never practiced CT, so, you know, I
18 don't know. But whether or not that should be
19 relevant toward giving somebody license to do CT on
20 a full diagnostic machine, non-nuclear medicine
21 purposes like in radiology, for example, I don't
22 know.

23 CHANTEL CORBETT: So some of the nuclear
24 medicine, like in a PET CT facility, sometimes one
25 exam from the beginning to the end, is a diagnostic

1 PET and a diagnostic CT. If they set up that
2 protocol and the nuclear medicine tech does not have
3 to edit it, then they can do the whole procedure
4 because it's part of the PET exam. Even though it's
5 a diagnostic CT. So those few places that have it
6 set up like that, they can get -- they are doing
7 diagnostic CTs, it's just in combination with the
8 PET at that point.

9 So that versus attenuation correction scan,
10 obviously, your MA, those types of things are
11 different at that point, but they would still be
12 doing the same thing. It's just attenuation
13 correction.

14 But like I said, I really don't -- I would say
15 probably less than five percent, in my estimation,
16 would be wanting to go do CT. You know, this is
17 mostly in combination with PET CT. Because like on
18 a PET CT mobile where they're not doing any strictly
19 CT, they still have to hire two techs right now.
20 So, you know, employers are pushing.

21 PATRICIA DYCUS: Oh, absolutely.

22 RANDY SCHENKMAN, CHAIRPERSON: But you still
23 want people to be trained --

24 CHANTEL CORBETT: Correct.

25 RANDY SCHENKMAN, CHAIRPERSON: -- and

1 understand what they are doing.

2 PATRICIA DYCUS: Correct.

3 CHANTEL CORBETT: Absolutely.

4 JAMES FUTCH: If you're going to use the ASRT
5 practice standard as your own, that's not limited to
6 nuclear medicine procedures and PET CT machines.
7 That's everything.

8 CHANTEL CORBETT: Right. Correct. So like you
9 said, it will simplify it greatly if they will just
10 adopt that standard of practice and meet that.

11 KATHY DROTAR: Also, when you're doing ARRT
12 certification, you're being supervised by somebody
13 who has that credential.

14 CHANTEL CORBETT: Well, they just have to be a
15 general radiographer.

16 CAROL BONANNO: They just have to be a
17 radiographer. They don't have to be CT.

18 KATHY DROTAR: No, they have to be certified on
19 the CT to sign off on the competency so that they
20 can --

21 CHANTEL CORBETT: No, they don't.

22 CAROL BONANNO: Not the way I read the
23 certification.

24 KATHY DROTAR: They have to be certified in CT
25 in order to sign off on it.

1 PATRICIA DYCUS: I know it is that way for
2 mammography.

3 RANDY SCHENKMAN, CHAIRPERSON: All right.
4 Well, we are over time, so this discussion will be
5 continued, I'm quite sure. And so can we adjourn?

6 JAMES FUTCH: Motion to adjourn.

7 KATHY DROTAR: Motion to adjourn.

8 ALBERTO TINEO: Second.

9 RANDY SCHENKMAN, CHAIRPERSON: Okay. Thank
10 you. All ayes?

11 ALL: Aye

12 RANDY SCHENKMAN, CHAIRPERSON: Any nays?

13 (No Response)

14 RANDY SCHENKMAN, CHAIRPERSON: Okay, we're
15 good.

16 (Proceedings concluded at 3:25 p.m.)

17

18

19

20

21

22

23

24

25

1 CERTIFICATE OF REPORTER


2 STATE OF FLORIDA:

3 COUNTY OF ORANGE:

4
5 I, RITA G. MEYER, RDR, CRR, CBC, CCP, do hereby
6 certify that I was authorized to and did stenographically
7 report the foregoing proceedings and that the foregoing
8 transcript is a true and correct record of my
9 stenographic notes.

10 I FURTHER CERTIFY that I am not a relative,
11 employee, attorney or counsel of any of the parties, nor
12 am I a relative or employee of any of the parties,
13 attorneys or counsel connected with the action, nor am I
14 financially interested in the outcome of the action.

15 DATED on this 25th day of May, 2015.

16
17
18 
19 _____
RITA G. MEYER, RDR, CRR, CBC, CCP