

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

ADVISORY
COUNCIL ON
RADIATION PROTECTION

**CERTIFIED
TRANSCRIPT**

Bureau of Radiation Control
Hampton Inn & Suites
Tampa Airport Avion Park Westshore
Tampa, Florida 33607

Thursday, May 18, 2023
10 a.m. - 2:55 p.m.

Reported by
Rita G. Meyer, RDR, CRR, CRC
Realtime Reporter and Notary Public
State of Florida at Large



1 ADVISORY COUNCIL MEMBERS PRESENT:

2 Randy Schenkman, M.D., Retired (Chairman)
3 Nicholas Plaxton, M.D.
4 Adam Weaver, MS, CHP
5 Chantel Corbett, AS, CNMT, RT (N), RSO
6 Rebecca Coffey McFadden, RT(R)
7 William "Bill" Atherton, DC, DACBR, CCSP
8 Joseph Danek, CHP
9 Jennifer L. Peterson, M.D.
10 Kathleen Drotar, Ph.D., M.Ed., RT. (R) (N) (T)
11 Albert Tineo, MS, CNMT

7

8 FLORIDA DEPARTMENT OF HEALTH STAFF
9 BUREAU OF RADIATION CONTROL:

9

10 James Futch, Environmental Administrator
11 Clark Eldredge, Interim Bureau Chief
12 Dontavia Wilson, Regulatory Supervisor/Consultant
13 Charlie Hamilton, Environmental Specialist III
14 Brenda Andrews, Business Consultant

12

13

13 GUEST SPEAKERS (Appearing Remotely):

14

15 Yoav Kimchy and Israel Hershko - Check-Cap, Ltd. Isfiya,
16 Israel
17 Darrel Fisher - Versant Medical Physics & Radiation
18 Safety. Richland, Washington

17

18

19

20

21

22

23

24

25

AGENDA

1		
2		PAGE
3	Welcome and Introductions	4
4	Approval of Minutes	6
5	Bureau Update	6
6	Tungsten-181 X-ray Imaging Capsule Presentation	19
7	Medical Quality Assurance Update	63
8	Radioactive Materials Update	76
9	Radiologic Technologist Updates	116
10	Administrative Update	148
11	Old Business	159
12	Adjourn	160
13	Certificate of Reporter	161

14
15
16
17
18
19
20
21
22
23
24
25

1 RANDY SCHENKMAN: Well, welcome everybody.

2 ADAM WEAVER: Welcome.

3 RANDY SCHENKMAN: It's good to see everybody
4 without masks.

5 So we'd like to get the meeting started. Why
6 don't we start with introductions.

7 ADAM WEAVER: Start with me?

8 RANDY SCHENKMAN: Yeah. Move around this way.

9 ADAM WEAVER: Adam Weaver, University of South
10 Florida. Radiation safety -- laser safety officer.

11 JENNIFER PETERSON: I'm Jennifer Peterson. I'm
12 a radiation oncologist at Mayo Clinic.

13 NICHOLAS PLAXTON: Morning. I'm Dr. Nicholas
14 Plaxton, one of the physicians, nuclear medicine
15 physicians at Bay Pines VA.

16 ALBERTO TINEO: Alberto Tineo from Halifax
17 Health. I'm the hospital's representative.

18 DONTAVIA WILSON: Good morning, everyone. My
19 name is Dontavia Wilson. I am the program
20 administrator for the certification unit for
21 Radiation Control.

22 CLARK ELDREDGE: I'm Clark Eldredge, Interim
23 Chief of Bureau of Radiation Control and filling in
24 until they finally find a permanent replacement for
25 Cindy.

1 RANDY SCHENKMAN: Hi, I'm Randy Schenkman. I'm
2 a retired radiologist; chairperson here. And I
3 worked at Baptist Hospital in Miami when I was
4 working.

5 JAMES FUTCH: James Futch, Bureau of Radiation
6 Control, Rad Tech certification program and many
7 other things.

8 BRENDA ANDREWS: Many. I'm Brenda Andrews with
9 the Bureau of Radiation Control. I'm the operations
10 and management consultant.

11 CHARLES HAMILTON: Charlie Hamilton, Bureau of
12 Radiation Control, licensed evaluator and reviewer.

13 WILLIAM ATHERTON: Good morning. Bill
14 Atherton, chiropractic radiologist in private
15 practice in Miami, Florida.

16 REBECCA McFADDEN: Good morning. This is Becky
17 McFadden. I'm the radiologic technologist and I am
18 from Orlando Health.

19 CHANTEL CORBETT: Chantel Corbett from Fusion
20 Physics. I'm the nuclear medicine technologist
21 representative.

22 JOSEPH DANEK: I'm Joe Danek, retired.
23 Previously worked for Florida Power and Light
24 NextEra Energy.

25 And Darrel Fisher, good to see you. You look

1 the same as you did 50 years ago when we were in
2 school together.

3 (Laughter)

4 RANDY SCHENKMAN: Okay. We need to approve the
5 minutes from the meeting of September 22nd, 2022.

6 ALBERT TINEO: Move to approve.

7 RANDY SCHENKMAN: Huh?

8 ALBERT TINEO: I move to approve it.

9 RANDY SCHENKMAN: Oh, okay.

10 ADAM WEAVER: Second.

11 RANDY SCHENKMAN: All right. All in favor?

12 MEMBERS: Aye.

13 RANDY SCHENKMAN: Any opposed?

14 (No Response)

15 RANDY SCHENKMAN: No. Okay. We pass.

16 JAMES FUTCH: All right. So --

17 RANDY SCHENKMAN: Next will be the Bureau
18 update by Clark.

19 CLARK ELDREDGE: Okay. All right. Some items
20 of note that the Bureau has been involved in for the
21 last -- since the last meeting.

22 Forgetting the Christmas season, starting in
23 January, we had eight staff trained and six
24 participate in providing PRND, preventative
25 radiologic nuclear detection support for the January

1 3rd Governor's inauguration. Mr. Futch was
2 instrumental in that since he had to deal with
3 multiple meetings beforehand with the security
4 detail -- planning and providing them guidance on
5 what needed to be done for the radiation protection,
6 because the folks there kind of lost their
7 institutional knowledge on it.

8 Later in January, we had what usually would be
9 a routine scrapyard alarm, nuclear -- where, it was
10 Palm Beach County, I believe, or in Palm Beach
11 County where the alarm went off. Our folks, you
12 know, responded or provided phone support initially.
13 And then the county or the local emergency
14 coordinator got involved and it was blown out of
15 proportion with concerns about there is a, you know,
16 a military gauge radium paint who is -- they helped
17 to truck aside, sent the people to the hospital who
18 were around it in case it was -- so, yes, it got
19 blown out of proportion, which ended up with John
20 Williamson, you know, the environmental
21 administrator, having to give a training on these
22 issues to the surveillance and investigation, EPPI
23 groups to educate folks that once again, on those
24 responses.

25 JAMES FUTCH: It was like an aircraft gauge?

1 CLARK ELDREDGE: Aircraft gauge, yeah. We've
2 been having lots of fun with stolen moisture density
3 gauges this past couple months. In February, you
4 know, two density gauges showed up on EBay. They'd
5 been stolen from a rail yard in Orlando in August of
6 2022. And we passed that off to FBI and NRC.

7 Then just earlier this month, we had seven
8 gauges stolen in two events. Five from an area in
9 Tampa -- I can't remember the other two -- where
10 they broke into a site where they were prepping for
11 road construction with all the other, and just
12 pillaged the site and ended up taking the five
13 gauges with them, with all the other stuff they
14 stole. Very professional job on that one.
15 Apparently, it's the second time that some sort of
16 group might have hit a construction site recently
17 and road construction site and just pillaged it in
18 the middle of the night.

19 We've been -- our agency, IMPEP, Integrated --
20 don't ask me, I can't tell you the acronym. But
21 it's the NRC federal review of our materials
22 licensing, Integrated Materials Performance
23 Evaluation Program. Auditors from NRC and from
24 other agreement states come to your state and review
25 your procedures and make sure all your licensing is

1 up to snuff. They've been doing the accompaniments
2 with our field inspectors and they've been
3 getting -- we've been great so far. No -- nothing
4 has been noted of any concern about the
5 accompaniments, back to solving good reviews there.

6 The actual on site will be the week of June 12
7 in Tallahassee, where they'll come and actually
8 review all the procedures of the licensing group
9 there.

10 In March, the Bureau housed a training for
11 hazardous response teams from the FBI, National
12 Guard, civilian support teams, Reedy Creek
13 Improvement District and other city and municipal
14 hazardous response teams in Orlando for a couple
15 days and so that was, you know, part of that grew
16 out of our communications over, with the stolen
17 gauges. So the Tampa and Miami offices and Orlando,
18 FBI folks came to our office, out to our Orlando lab
19 for training on radiation detection and clean up
20 of -- or response during an event that involves
21 radioactive materials.

22 JAMES FUTCH: We ended up loaning them some
23 button sources and some equipment, too.

24 CLARK ELDREDGE: I didn't know that.

25 JAMES FUTCH: Yeah. It was kind of surprising

1 to find out the FBI doesn't have any check sources
2 for any of their radiological gear. They have three
3 welding rods. So we, we loaned them some things.

4 CLARK ELDREDGE: So James, again, and Charlie,
5 they were -- they went from Tallahassee down to
6 assist John Williamson and Reno Faudy (ph), Mark
7 Sykes, the crew down there with the training.

8 In April, another fun one. We got a call
9 transferred from California, called the NRC, and
10 then NRC transferred to us, about malicious
11 diversion of three Category 2 radiography sources.
12 This California firm had sold radiography sources to
13 an oil company in Venezuela. To get there, you
14 know, you have to set up a, a shipping company, a
15 broker and a transport agent. And they were -- it
16 went from California to Miami, and then had to go to
17 Bogota before Caracas since you can't necessarily go
18 directly between the U.S. and Caracas at this time.

19 The shipping of the transport, the transporter
20 in Miami supposedly had a beef with the broker being
21 owed money, and so they decided to hold on to the
22 three Iridium sources and put them in their bathroom
23 at their office.

24 JAMES FUTCH: Like, originally, 100 curies or
25 150 curies. It's an industrial radiography source.

1 Three of them.

2 CLARK ELDREDGE: Three of them. And they --
3 was it October, November that they put them aside?
4 So this was, you know, the week of May 10 -- I mean
5 April 10. So they actually decayed down a good bit,
6 which is a good thing, but they'd been sitting
7 there. I think they were 16 curies each at that
8 point. Something like that.

9 So after getting the phone call on a Monday, we
10 coordinated with our FHP cohorts in the -- we do the
11 PRND activities with, and made it -- first made a
12 call to the transport agent and that's when we --
13 oh, these guys owed me money. I thought this was
14 worth something. I was going to hold it until they
15 paid me. Oh, and then said, no, the sources weren't
16 in his office anymore.

17 So our staff, with FHP, went two days later
18 went and drove around their offices; didn't see
19 anything from the outside. Went in to -- went in to
20 interview at the office and they were still there
21 and offered them the option of, you know, do you
22 want to surrender these now or not? You know,
23 you're unlicensed. You have no authority to hold on
24 to these type things and so they surrendered them at
25 that time.

1 By Friday that week, the California company had
2 arranged for their -- it was on the way back to
3 California. Their agent in Tampa came over to the
4 Orlando lab where we had stored them and shipped
5 them from Miami up to Orlando. And then they came
6 to pick them up and shipped them back to California.

7 Jorge Laguna, who's our inspections
8 coordinator, has been traveling internationally for
9 the IAEA. So previous, last year, he went down to
10 Brazil for IAEA for a regional meeting of radiation
11 protection officials for South America, Central
12 America, to talk about guidance on dealing with
13 radioactive materials, dealing with Radon, dealing
14 with, you know, management licensing. And so, they
15 invited him to participate in an African Regional
16 Training and Summit sponsored by IAEA. So he was in
17 Zimbabwe there for a few days presenting on behalf
18 of the IAEA.

19 Now, this is also coordinated through the
20 Conference Radiation Control Program Directors,
21 which is the national organization of state
22 radiation agencies. So -- since he's a member of
23 that, he got tapped to represent, tour with the IAEA
24 since they have an inter-agency coordination
25 agreement, support radiation protection throughout

1 the world.

2 And then come to May, the national meeting in
3 Houston, Mr. Laguna presented on his trip and I
4 actually talked with Don Miller, had a facility
5 session with Don Miller on FDA of the proper
6 radiation machine labeling and concerns as I
7 presented before about how we're getting the Chinese
8 machines with no -- they haven't been FDA approved.
9 And the fact we actually will find other machines
10 from -- being imported without proper FDA labeling,
11 even though they've actually been approved. They
12 have their five 10Ks.

13 So -- and that covers what we've been up to
14 recently.

15 RANDY SCHENKMAN: Anybody have any comments,
16 questions?

17 JAMES FUTCH: Clark, was there any follow up
18 from NRC to clarify if something like the California
19 source thing happens again, what's the -- what kind
20 of -- it felt like we were out on uncharted
21 territory.

22 CLARK ELDREDGE: That's a weakness in the NRC
23 regs in the shipping -- in fact, if I can get the
24 group, let me get the right name.

25 JAMES FUTCH: I know when Kevin came down the

1 hall when he first got the call, things were on a
2 fairly smooth glide slope because he had gotten the
3 shipper down in Miami to at least tentatively agree
4 to give up the sources, but that's when the shipper
5 called the company in California to ask them how
6 much this was worth. And, and that's when the
7 shipper found out that they were no longer at the
8 address that they were legally supposed to be at.
9 They had moved them some place else. So things
10 kicked into overdrive at that point.

11 CLARK ELDREDGE: Right. Well, the, the folks
12 from California actually had gotten a call from
13 Caracas saying where are our sources? It has been
14 six months. And so then started to --

15 JAMES FUTCH: It took them that long to ask
16 where the sources were?

17 CLARK ELDREDGE: And that's -- yeah, five
18 months, something like that, which is really odd it
19 decided to take them that long. And there is
20 somewhat of a weakness in our current federal
21 structure about when things are shipped, and getting
22 feedback that they're shipped, a notification to
23 other states that sources like this are coming
24 through. That's not part of it and I'm trying to
25 get the, the name of the group. The TS --

1 REBECCA McFADDEN: My question would be, who
2 would handle all of the expenses that surround that
3 issue? I mean, is that something that the, the
4 transporter would cover or does the State just cover
5 it without --

6 CLARK ELDREDGE: The licensee, until it's
7 officially in the other person's hands, they're --

8 REBECCA McFADDEN: So it's the people in
9 California who would be the shipper or the person
10 who sent it, would be responsible for all that?

11 JAMES FUTCH: Yeah. One of the questions we
12 had was, how could it take so long for the
13 authorities, the radiation authorities at the
14 national or state level where it's supposed to
15 happen, to find out about it. That it didn't get
16 shipped. I mean, it was, like Clark said, five, six
17 months since.

18 ADAM WEAVER: Are these true Category 2
19 sources?

20 JAMES FUTCH: It was --

21 ADAM WEAVER: -- as defined as increased
22 controls?

23 JAMES FUTCH: It was three, 150 curie Iridium
24 182. I don't know off the top of my head.

25 ADAM WEAVER: So, yeah. They're Cat 2's, if I

1 remember correctly.

2 CHANTEL CORBETT: But with any sources, I think
3 really, like, your shipper and receiver are really
4 the only ones that know what approximate time it
5 should be, you know, there.

6 CLARK ELDREDGE: Right.

7 CHANTEL CORBETT: So anybody in the middle,
8 like you said --

9 ADAM WEAVER: If they follow the increased
10 controls, those should be tracked more, as part of,
11 Part 37 in the State's equivalent.

12 CLARK ELDREDGE: Again, it's the fact that --

13 ADAM WEAVER: I know it's coming from
14 California.

15 CLARK ELDREDGE: The licensee shipping to
16 Florida.

17 ADAM WEAVER: But then it's shipped, right. In
18 theory, they should have notified you guys that
19 these things were coming, but --

20 RANDY SCHENKMAN: Is that what you're trying to
21 get the Government to do?

22 CHANTEL CORBETT: Yeah, because you're saying
23 there's not a requirement.

24 CLARK ELDREDGE: There's not a requirement for
25 that, so there is no --

1 RANDY SCHENKMAN: Is that what you're trying
2 to --

3 ADAM WEAVER: -- when you ship those.

4 CLARK ELDREDGE: There is some actual review or
5 something and I'm trying to remember the TS, there's
6 a national work group on transportation security for
7 sources.

8 ADAM WEAVER: Within DOT.

9 CLARK ELDREDGE: TS, it's out of -- not
10 Chattanooga. Oak Ridge. Oak Ridge Labs, they've
11 got a contract to manage the -- I'm trying to get
12 their -- because they just sent me an e-mail because
13 I met them at the CRCPD meeting.

14 It's an alphabet soup acronym. I cannot
15 remember. TSURIG, something like that.

16 ADAM WEAVER: Yeah, yeah. I think I've heard of
17 them.

18 CLARK ELDREDGE: Okay. Transportation Security
19 United -- Unified Stakeholders Group. TSUSG.

20 ADAM WEAVER: Okay.

21 CLARK ELDREDGE: Operated out of ORNL. Oak
22 Ridge National Lab.

23 RANDY SCHENKMAN: And they're trying to get
24 these tracked or --

25 CLARK ELDREDGE: That was one of their -- that

1 is one of their issues right now is the tracking of
2 these sources.

3 ADAM WEAVER: It really goes to the
4 manufacturer who shipped them first. He or -- that
5 company should have, you know, made sure he complied
6 with Part 37, which does require you to notify.

7 JOSEPH DANEK: Does not? It does not require?

8 ADAM WEAVER: It does require. Cat 2 sources.

9 JOSEPH DANEK: Yeah, I think.

10 CLARK ELDREDGE: There's also the current issue
11 about applying the Part 37 down to some levels of
12 Cat 3 sources also. I don't know the details.

13 ADAM WEAVER: They're trying -- they're working
14 on a draft, I believe is where the NRC is.

15 RANDY SCHENKMAN: Okay. Any other comments
16 about this?

17 JOSEPH DANEK: Just real quick. NRC was out of
18 Atlanta, California, headquarters that was involved
19 in this?

20 CLARK ELDREDGE: It was, they've got a national
21 help group or something. So it was --

22 JOSEPH DANEK: Probably out of headquarters.

23 CLARK ELDREDGE: Headquarters.

24 JOSEPH DANEK: Yes. King of Prussia.

25 CLARK ELDREDGE: When the call was transferred,

1 it was basically blind transferred without any
2 information and it was, Kevin said, all of a sudden,
3 we're talking to a guy from California and what's
4 this all about?

5 RANDY SCHENKMAN: Okay. Are we ready to move
6 on?

7 Okay. Next we will have from our gentlemen on
8 the screen, Introduction to a Tungsten-181 X-ray
9 Imaging Capsule for Colorectal Cancer Screening.

10 ISRAEL HERSHKO: Can you see my screen?

11 RANDY SCHENKMAN: Yes.

12 ISRAEL HERSHKO: Okay. So do you want to
13 introduce yourself?

14 YOAV KIMCHY: Yes. Good morning, everyone. My
15 name is Yoav Kimchy. My background is physics,
16 mathematics and environmental engineering with a
17 Ph.D. in single processing physics.

18 I founded the company Check-Cap in 2005 and
19 currently serving as the CTO of the company.

20 ISRAEL HERSHKO: And good morning. I am Israel
21 and my background is electro optics and business
22 management. And I'm with Check-Cap around seven
23 years and, like, 25 years in the medical device
24 industry.

25 DARREL FISHER: Thank you. My name is Darrel

1 Fisher. I'm a proud graduate of the University of
2 Florida with a doctorate in medical physics with
3 Versant. Now, Versant is the company that took over
4 for Dave Muller, leading provider of health physics
5 services in the U.S. And a gold sponsor of the
6 Health Physics Society.

7 My background includes 35 years as a senior
8 scientist at Pacific Northwest National Laboratory
9 here in Richland, Washington. And I've served
10 previously on the, the NRCs advisory committee on
11 the medical use of isotopes, supporting Check-Cap in
12 this presentation.

13 CLARK ELDREDGE: This is Clark. If you -- that
14 went away. One of our screen was somehow copying.
15 That was ours? Okay. Whatever. It cleared up.

16 ISRAEL HERSHKO: Yeah, I move it.

17 RANDY SCHENKMAN: Thank you.

18 JAMES FUTCH: Darrel, thank you for the
19 introduction. We won't hold it against you, the
20 University of Florida part.

21 JOSEPH DANEK: I've got you to deal with that.

22 JAMES FUTCH: Gentle ribbing.

23 YOAV KIMCHY: In the presentation, we'd like to
24 talk about the clinical need, how the system is
25 designed, the imaging principles, the capsule

1 components, including the 181 x-ray source, the
2 method of use, and Darrel is going to talk about the
3 regulatory elements and questions.

4 Next slide, please.

5 Okay. So colorectal cancer can largely be
6 prevented by finding pre-cancerous polyp detection.
7 A lot of people are reluctant to do optical
8 colonoscopy because it requires all cleansing and
9 sedation and prep, which is the, probably the worst
10 part of it.

11 What we're developing is a low-dose system.
12 It's basically a capsule that you swallow. You go
13 on your daily routine and it does not require a
14 polyp preparation.

15 The capsule, we've received FDA designation as
16 a life saving device. And we've received CE mark
17 approval at the European part of FDA from the
18 European market.

19 Next slide.

20 So colorectal cancer is very slow-growing
21 process. It starts at benign polyps, which
22 gradually and slowly grow in the colon over a
23 decade, a decade and a half, and no symptoms are
24 felt by the patient. And then it starts, some of
25 the polyps become cancerous.

1 Stopping the process is like, basically finding
2 the polyps before they become cancer. And with
3 colonoscopy taking them out so the patient doesn't
4 even proceed through the cancerous stage.

5 If you look at the average risk population,
6 about 75 percent, no polyps. About 25 percent have
7 polyps which grow slowly and might become cancerous
8 and about 0.5 percent of average risk of the
9 population have cancer at one stage or the other.

10 Next slide.

11 And obviously, if you find it early or even in
12 the polyp stage, there's more than 90 percent chance
13 that the patient will be completely cured. So it's
14 a very well worth looking and stopping.

15 In terms of how the system works, we have three
16 elements in the C-Scan System. One is the capsule,
17 which is a single-use ingestible capsule. Travels
18 naturally in the body. It has a low dose, ultra low
19 dose x-ray scanning technology.

20 The tracker is a device that is put on the back
21 of the patient. It has autonomous control. It
22 communicates with the capsule. It has two
23 functions. One is to track the position of the
24 capsule with a less than one centimeter accuracy.
25 And also to command the capsule in the scan. It's

1 scanning only when it's moving. And also to collect
2 all the data from the capsule so it can be retrieved
3 and afterwards downloaded.

4 The third part of the system is a C-Scan View.
5 It's a cloud-based analysis suite used by the
6 physician to use the data and look for possible
7 suspects. Most of the patients will have nothing,
8 because that's the prevalence of polyps is less
9 than, let's say 25 percent or less. Those patients
10 that do have something, the patient will be advised
11 to go through colonoscopy to remove the polyp.

12 The next slide.

13 So the intended use is for people, and now it's
14 45 years and older, to get screened. And it's to
15 find patients that have suspect findings that might
16 be a source of polyps. Can take it out by advising
17 the patient to go to a colonoscopy.

18 Next slide.

19 As I said, the procedure is simple. You take
20 the capsule. You take some psyllium fiber capsule
21 with each meal and some iodine-based contrast agent
22 with each meal, one tablespoon. And then you
23 swallow the capsule and it moves naturally in your
24 body. You continue to do your normal routine. The
25 tracking system monitors the position of the

1 capsule; tells it when to scan and collects all the
2 data. And once the capsule is excreted, all the
3 data is recorded to be downloaded later.

4 Next slide.

5 Some things that you're advised not to do when
6 you have the test. One is travel. The other is go
7 near high energy or electromagnetic interference
8 because our tracking system is based on
9 electromagnetic signals and this might interfere
10 with it.

11 Medical procedures, such as CT and MRI can be
12 disruptive to the system. And swimming or scuba
13 diving is not advised, as well as high intensity
14 sports, since the recorder might fall off. Anything
15 else is -- you can do anything. You can work. You
16 can sleep; shower, anything that is normal in your
17 routine until the capsule is gone.

18 Right now, the directive is to collect the
19 capsule at the end of the procedure. For that,
20 we've added a system of, or a set of collection
21 system that allows the patient to look inside the
22 stool once the stool comes out, and collect the
23 capsule and send it back. Actually send it to the
24 decaying center.

25 Next.

1 The way the system works, we have an x-ray
2 source inside the capsule. Basically a collimator
3 that is turning with a slow motor. Three beams.
4 And these beams are x-rays and two physical
5 phenomenas are used the imaging. One is x-ray
6 florescent from the contrast agent mixed in the
7 stool and the other is contents gathering that comes
8 from both the contents of the stool, of the colon,
9 and the tissue beyond. These are in two different
10 energies and the capsule is able to collect these
11 two different energies and actually use those to
12 find the distance between the capsule and the colon
13 wall.

14 So it basically maps the inside of the colon,
15 disregarding the content, the stool, because x-rays
16 that travel through that. And we're able to find
17 the distance to the colon wall. So any protrusion,
18 such as a polyp, will appear in the reconstruction.

19 Next.

20 The capsule itself, you can see here. The
21 inside, it has the x-ray imaging system. The
22 radiation source goes inside that hole. Detectors,
23 electronic communication, radio frequency
24 communication. The capsule is very sturdy. That
25 means that tungsten of two millimeters in thickness

1 blocks all the radiation. It's open with a spring
2 shutter, so any time that it's not working for any
3 reason, either it's not scanning or no battery or
4 whatever, the spring-loaded shutter keeps the
5 shutter closed and no exposure to the patient.

6 Next slide.

7 The source, itself, is Tungsten-181, which has
8 a half-life time of 121 days. Maximum activity is
9 50 mCi. Usually we -- patients will have 30, 35
10 mCi. The most emission energy is around 60, 70 keV.
11 Effective dose to the patient for the whole duration
12 of the study, of the test, is about 0.06 mCi. We
13 tested it for Iso 2919 and it's obviously tested for
14 white test, both the canister, itself, and the
15 capsule, itself, before it is shipped out of our
16 facility.

17 And the next slide.

18 In terms of exposure to the patient, you're
19 probably aware of exposure of other medical imaging,
20 so we're looking at a very low exposure to the
21 patient relative to chest x-ray or other, other
22 imaging modalities.

23 Next.

24 This is data from the study that we did post
25 FDA approval in the European regulatory process. So

1 you see patients with all size of polyps. And
2 that's six millimeters and up, we had 66 percent
3 sensitivity. Polyps larger than ten millimeters, we
4 had 76 percent sensitivity for those polyps. And we
5 had large polyps, 40 millimeters and above, which
6 are the ones that might have about 40 percent chance
7 of becoming cancerous, the system found all of them.
8 We had about, I think, four of these very large
9 ones.

10 You can see also the correlation to --
11 comparison to fecal stool testing that was done in
12 those patients and you see the results in terms of
13 the percentage of detection for those patients for
14 those polyps. Specificity was 82 percent.

15 Next slide.

16 Here you can see how data looks on the viewing
17 system once the data is downloaded. And you can see
18 on the left, top left side, that's the 2D scan and
19 we can see the suspect finding.

20 Bottom left, you can see the 2D slides and on
21 the right, you see the 3D reconstruction. Middle,
22 on the bottom, you can see the position of the
23 suspicious finding. It's about -- a cancerous
24 colon. And the position, you do measurements that
25 can basically decide if that's something that

1 requires further investigation and colonoscopy.

2 Next slide.

3 These are examples of polyps. A small one at
4 the top, five millimeters. Bottom is the 20
5 millimeter. On the right side, you see the
6 colonoscopy images. In the middle is the 3D
7 reconstruction on the capsule and on the left, how
8 the physician or the -- an analyst looks at the data
9 before it's decided if it's a suspect finding.

10 Next slide.

11 And I pass it to Darrel.

12 DARREL FISHER: Thank you. And thank you to
13 those of you from the Council who made this
14 presentation possible.

15 There have been a number of questions of
16 regulatory concern on the Check-Cap capsule. And
17 first of all, I'd like to just briefly go over how
18 it's designated by the FDA.

19 As mentioned, it has breakthrough device
20 designation as an investigational device. With an
21 IDE, Investigational Device Exemption, approved by
22 the Food and Drug Administration for pivotal
23 clinical trial use. This is important to gather
24 sufficient data on safety and efficacy prior to
25 final FDA approval.

1 The C-Scan System is authorized under the Code
2 of Federal Regulations for medical use as a sealed
3 source device, manufactured, labeled, packaged and
4 distributed under 10 CFR 30 and 10 CFR 32.74.
5 Specifically for pivotal clinical trials, research
6 as an advanced approach for identifying subjects
7 with elevated risk of colon polyps and to collect
8 clinical trial efficacy data.

9 Next.

10 Is an Institutional Review Board at the
11 participating institution required? And the answer
12 is yes. Prior to use, an IRB review is required to
13 approve and monitor the use of the Check-Cap C-Scan
14 System for research purposes.

15 Another question, are sealed source inventory
16 requirements applicable? And the answer is yes.
17 The capsules are managed as individual, discrete
18 sources; therefore, the requirements in the Code of
19 Federal -- Code of Federal Regulations for
20 semiannual physical inventory and recordkeeping are
21 applicable. However, since these capsules are used
22 immediately on receipt and are not stored by the
23 licensee, it would -- it would not be expected that
24 the inventory would take place on a semiannual
25 basis.

1 Next.

2 What is the diagnostic exam process? The
3 capsules are received by the participating hospital
4 and soon thereafter, administered to the patient by
5 the licensee's authorized user. The patient then
6 returns home, leaving the center. The capsule, as
7 mentioned, travels through the GI tract over a
8 period of 24 to 72 hours with a mean transit time of
9 about 52 hours and is excreted naturally.

10 According to instructions, the patient collects
11 the capsule using the special capsule collection kit
12 provided for return to the manufacturer.

13 The most important requirements for the
14 licensee are the following: Maintaining complete
15 records of radioactive material receipt and
16 administration to the patients. The licensee also
17 maintains record of authorized user training and
18 record of instructions given to patients on
19 radiation safety.

20 Next.

21 Is leak testing required? The answer is no.
22 The capsule design and manufacturing have been
23 subjected to rigorous sealed source leak testing for
24 conformance with the requirements of ISO 2919:2012,
25 and ISO 9978:2020. The manufacturer performs

1 additional capsule wipe testing prior to shipment to
2 insure that, to insure each capsule maintains sealed
3 source integrity. With each shipment to a clinical
4 site, the manufacturer provides a certificate
5 stating that the sealed source has been leak tested
6 and shown to be within the regulatory limit for, for
7 leaching.

8 These capsules are designed that they would not
9 leak any radioactive material unless crushed and
10 ground. So the -- they're very -- they have very
11 strong seal source integrity and the Tungsten-181 is
12 embedded within Tungsten metal and would not
13 dissolve.

14 Is a written directive required? The answer is
15 no. Under 10 CFR 35.40, the capsule is a low-dose
16 diagnostic tool and a written directive is not
17 required for patient use.

18 Who is the authorized user? The authorized
19 user oversees or administers capsule ingestion and
20 may be named on the radioactive materials license.
21 This varies by state. Some states would require,
22 require it; others would not.

23 Under 10 CFR 35.590, training for use of sealed
24 sources, the authorized user is a physician
25 certified by a specialty board who has completed a

1 minimum of eight hours of classroom and laboratory
2 training in basic radionuclide handling techniques
3 specifically applicable to the use of the device.

4 Next.

5 Does the authorized user receive training by
6 the manufacturer? Yes. The authorized user must
7 become familiar with the training materials provided
8 by Check-Cap. There is on-site training. It
9 includes training in all processes, procedures,
10 instructions for medical use, including radiation
11 safety, as provided by the manufacturer in the, in
12 the manufacturer's documentation.

13 Is medical events reporting required for an
14 unusual incident? The capsule is ingested and later
15 expelled. Since the Tungsten-181 source is
16 shielded, most of the time in the window closed or
17 off position, and since the anticipated radiation
18 dose to the patient is very, very small, less than,
19 as mentioned, on average, about .06 mSv, the
20 probability of a reportable medical event meeting
21 the criteria is essentially completely unlikely,
22 unless administered to the wrong patient. So
23 medical event reporting would be highly unusual.

24 Do the patient release criteria in 10 CFR 35.75
25 apply? Yes, the criteria applies, but it is not

1 physically possible for a radiation dose to a member
2 of the public to exceed the criteria given in 10 CFR
3 35.75.

4 Backing this up is extensive scientific review
5 performed for Check-Cap, showing that all -- for all
6 relevant exposure scenarios, including those with
7 the most conservative assumptions, the radiation
8 dose to any member of the public would not exceed .1
9 rem or one mSv in a year.

10 Must the licensee report the loss or theft of
11 the capsule? The answer is yes. Under 10 CFR
12 20.2201, reports of theft or loss, requires each
13 licensee to report within thirty days after an
14 occurrence, any loss, stolen or missing licensed
15 material. However, keep in mind that this section
16 applies to the licensee and to loss of radioactive
17 material controlled by the licensee or within the
18 premises of the facility. However, losses that may
19 occur by intervention of a medical patient after
20 release from the hospital or clinic, such as failure
21 to retrieve an excreted capsule, would constitute
22 actions beyond the control of the licensee and not
23 regulated under 20.2201, whether or not the loss by
24 the patient is intentional or unintentional.

25 The commissioners of the NRC have recently

1 reiterated the fact that patient interventions are
2 not regulated.

3 Next.

4 Is capsule disposal into the sanitary sewer
5 system permitted? The answer is no. The Check-Cap
6 instructions for use do not permit disposal of these
7 capsules by release into the patient's sanitary
8 sewer system. A used capsule should always be
9 returned to the manufacturer or to its licensed
10 facility designated for disposal instead of disposal
11 into the sewage.

12 What happens if the patient dies before the
13 capsule is excreted? If the patient should die
14 before the capsule passes completely, it should be
15 removed by a medical procedure and returned to the
16 manufacturer or to a licensed facility according to
17 the instructions for use provided by Check-Cap.

18 There are probably many other questions that
19 could apply and so we would invite you to ask
20 additional questions if necessary.

21 RANDY SCHENKMAN: I have a question. What
22 happens if somebody can't swallow that capsule?
23 It's very large.

24 Did you hear me?

25 ISRAEL HERSHKO: Yoav?

1 YOAV KIMCHY: Yes. I will answer. Until now,
2 we had about a thousand such patients with the
3 capsule and I think maybe seven or eight capsules,
4 the patients could not swallow. It's part of our
5 exclusion criteria, if the patient cannot swallow
6 capsules, large capsules, and we usually find them
7 before they come into the process.

8 We found mixing it with a little bit of apple
9 paste or -- to make sure, usually makes it easier
10 for patient to swallow. And that's our experience
11 so far. So we had about, I think not more than
12 eight patients that could not swallow the capsule,
13 but maybe I'm wrong.

14 ISRAEL HERSHKO: I just put you on mute, so can
15 you unmute? Okay.

16 RANDY SCHENKMAN: Are you looking to make the
17 capsules smaller?

18 ISRAEL HERSHKO: I believe that in the future,
19 the next generation will make it smaller, but it
20 will take a few years.

21 NICHOLAS PLAXTON: I had a question. I don't
22 know if you guys can hear me.

23 I was wondering, you know, since they swallow
24 the pill, are you doing any diagnostic on the small
25 bowel, even though it's, you know, less common to

1 have cancer in the small bowel, but since it's
2 passing through, do you have any kind of analysis
3 for the small bowel as well as the large bowel?

4 YOAV KIMCHY: Right now we don't. Right now we
5 concentrate on the colon because that's, that's
6 where most of the patients have problems.

7 WILLIAM ATHERTON: Hi. Could you explain the
8 benefit of the test versus the -- so if it's a
9 positive test, then the -- it's a recommended
10 colonoscopy, correct? And what -- so the benefit
11 comes if the test is negative, correct? And then
12 what's the recommendation, another test for five
13 years or is there a recommendation for the test?

14 YOAV KIMCHY: Yes. That would be a
15 recommendation. Obviously, it will need to align
16 with what the FDA provided. Yes, but that's usually
17 a good direction.

18 JOSEPH DANEK: My understanding, there is some
19 clinical trials going on right now at Mayo Clinic in
20 Florida. Is that true? Somebody mentioned that to
21 me. Is that true? So right now, there is some
22 clinical trials?

23 CHARLES HAMILTON: Currently we have one
24 licensee. It's a broad scope medical. Only broad
25 scope medicals can -- are allowed to do the

1 procedures. Clinical trials that last through
2 December of this year and they are licensed for 500
3 mCi total of Tungsten-181, no capsule to exceed 50
4 mCi, and that's Mayo Clinic Jacksonville.

5 JOSEPH DANEK: I'm just looking at your notes
6 and all that. You said the patient can return it to
7 the manufacturer licensed facility. I would imagine
8 that would be the licensed facility that would --
9 you wouldn't want to send them directly to the
10 manufacturer. It would go through the licensee.

11 ISRAEL HERSHKO: Let me take this. The patient
12 is, is getting an envelope with the address and the
13 phone number that our party in the U.S. and then the
14 capsule is sent to solution in Ohio for decay.

15 JOSEPH DANEK: What is the contact radiation
16 level on the, on the device? I know it's got 121
17 day half life but just -- before decay or whatever.
18 I know it's very low. What is it roughly? Contact
19 radiation on the device, the capsule.

20 ISRAEL HERSHKO: Yoav, can you --

21 YOAV KIMCHY: I don't remember it by heart. I
22 don't remember the number, but it's, it's less than
23 a mGy area, but I don't remember.

24 JOSEPH DANEK: I'm sorry, what did you say?

25 ADAM WEAVER: Less than a mGy.

1 YOAV KIMCHY: I don't remember by heart, but I
2 have a -- I'll look it up. I can give you the
3 results in a few minutes.

4 JOSEPH DANEK: Okay. That's fine.

5 ADAM WEAVER: It's pretty low energy.

6 ISRAEL HERSHKO: The capsule --

7 JOSEPH DANEK: I understand. Just curious.

8 ISRAEL HERSHKO: The capsule is sent by the
9 patient in expected package to the site in Ohio for
10 decay. So it's off all the time and meets the
11 requirement of expected package, and no radiation
12 exposed to anyone in the, in the -- no way human
13 exposure.

14 NICHOLAS PLAXTON: I'm just curious. How many
15 of these have you lost down the sewer?

16 ISRAEL HERSHKO: In the, in the states, we lost
17 until now, two. One in Mayo, Rochester and one in
18 New York.

19 CLARK ELDREDGE: And that's out of how many?

20 ISRAEL HERSHKO: It was -- we had starting
21 about 45 patients and now we have, like, in the
22 people town, we have about 20, 22. So together,
23 it's like 66, 65.

24 After we lost the capsule in, in Rochester, we
25 decided to, to change the collection kit to be more

1 robust and they now -- the people don't try -- the
2 patient is getting the new collection kit that is
3 more friendly and robust that the capsule cannot be
4 lost in the sewer.

5 NICHOLAS PLAXTON: How much do the capsules
6 cost, approximately?

7 ISRAEL HERSHKO: I think that we cannot tell
8 you this.

9 (Laughter)

10 ADAM WEAVER: Good try.

11 JAMES FUTCH: Especially the people down in
12 Hialeah. The shippers down there. They might be
13 new customers.

14 CLARK ELDREDGE: What kind of -- how did you
15 all do your dose study? What was your -- what were
16 you doing to figure out what your estimated dose to
17 the patient is?

18 YOAV KIMCHY: We used TOD in the lab and we
19 have hired a radiation specialist, Dr. Grossman,
20 that did the analysis with us. So we have both
21 theoretical and actual measurements.

22 CLARK ELDREDGE: I think it was mentioned that
23 the shutter opens when the object is being moved.
24 It's sensing movement in the system. Does -- yeah.
25 Do you have some way to restrict it just to the

1 large colon or is it imaging all the way through
2 until, you know, what's the control mechanism?

3 YOAV KIMCHY: Sure. We know exactly the
4 position of the capsule all the time. We have a few
5 mechanisms that understand when the capsule gets
6 into the colon. And out of an average of 52 hours,
7 the capsule scans about 40, 45 minutes at the most.

8 ISRAEL HERSHKO: We can sense, we can sense
9 the, the capsule and get into the cecum and from
10 that moment, the capsule or the tract can send
11 commands to the capsule for scanning.

12 WILLIAM ATHERTON: Is there any concern for --
13 I know the dose is very low, but it sounds like
14 that's, like, a whole-body dose. Is there any
15 concern for the actual, the dose being so close to
16 the radiosensitive lining of the colon? Is that a
17 concern or is it too low to be a concern?

18 YOAV KIMCHY: We did do a total analysis of the
19 actual exposure to the patient's tissue. The colon
20 tissue. We're looking at the mGys and I think it's
21 2.3 mGys, an average. We have complete records with
22 the FDA on that.

23 CLARK ELDREDGE: So what's the dose rate or
24 exposure rate when it is open?

25 YOAV KIMCHY: I have all the tables. I'll give

1 you in a moment. I'll get back to you just a
2 second.

3 JOSEPH DANEK: So who reviews the, I guess like
4 the scan results? You've got the track on the
5 person's back and I'm just trying to understand the
6 logistics.

7 ADAM WEAVER: Who can interpret the results?

8 JOSEPH DANEK: What's that?

9 ADAM WEAVER: Who can interpret the results?

10 JOSEPH DANEK: Yeah, I mean the capsule and the
11 tracking mits. Again, the procedure's been done.
12 The capsule goes back, I guess with the tracking.
13 What's the logistics on how the results, scan
14 results, who reads them, who interprets them?

15 YOAV KIMCHY: Sure. So we have two processes.
16 The first one is the -- we have analysts right now
17 that are looking at each patient's data and coming
18 up with possible optics.

19 And then physician writes up -- looks at this
20 data and decides if the patient is positive or
21 negative. Some physician. And he decides that the
22 gastroenterologist who is trained with quite a few
23 cases in order to understand that that's the
24 suspected needs to send for colonoscopy.

25 That's the process that we're currently

1 running. The idea is when we have enough data, the
2 technical analysis will be done with AI, with
3 artificial intelligence, and then a physician will
4 sign off after looking at or reviewing the data from
5 that.

6 ISRAEL HERSHKO: The first, the first -- the
7 first part of the, the process is that the patient
8 is sending the track separately from the capsule to
9 our -- we have a, in New York, a site that gets it
10 to the --

11 YOAV KIMCHY: The cloud.

12 ISRAEL HERSHKO: Sending the data to the cloud.
13 And then the analysis team is taking the data from
14 the cloud and starting work on the data.

15 NICHOLAS PLAXTON: How fast is the turn around
16 time? How long does it take to get the results?

17 YOAV KIMCHY: A few days, literally.

18 CHANTEL CORBETT: And then those results are
19 sent back to the facility for the authorized user to
20 do the final review and report?

21 YOAV KIMCHY: Right now in our clinical data,
22 in our clinical trial, we've trained four, five
23 gastroenterologists and they will do all the data
24 analysis.

25 CHANTEL CORBETT: Right. So those people would

1 either have to be added to a license in Florida or
2 the person that's the authorized user in Florida
3 would have to overread the report.

4 ISRAEL HERSHKO: Currently, the licensee is not
5 doing the analysis.

6 ADAM WEAVER: Have you had any adverse effects,
7 like, not passing the, the device?

8 YOAV KIMCHY: I think the longest patient that
9 we had was something like 300 hours. That's a very
10 big outlier. And it was taken out of a colonoscopy.
11 I don't remember, there were a few patients, maybe
12 -- I don't remember the exact, but nothing that, you
13 know, obviously no deaths or anything like that.

14 ISRAEL HERSHKO: We didn't have any serious
15 adverse events. We have only a minor adverse event
16 like headache or stomachache, stomach pain. And
17 those are the, the worst event that we have.

18 WILLIAM ATHERTON: And the one that you said
19 that they had to remove, you said they removed it by
20 colonoscopy. What was the reason?

21 YOAV KIMCHY: That's correct.

22 WILLIAM ATHERTON: What was the reason?

23 YOAV KIMCHY: Just the colon immobility. It
24 was -- stayed in the, in the cecum -- that's the
25 beginning of the colon -- and didn't move out from

1 there.

2 ADAM WEAVER: Got stuck.

3 NICHOLAS PLAXTON: I have a question about,
4 like, when you guys have, or if you have a patient
5 that died, to retrieve the device, is that a nuclear
6 regulation or is that -- it seems a little
7 aggressive. You think you would just leave it in
8 there.

9 YOAV KIMCHY: Darrel can answer that.

10 DARREL FISHER: Yeah. The requirements would
11 be up to the individual state regulating the
12 procedure. There isn't a radiation hazard, but the,
13 the idea of leaving a radioactive source in a corpse
14 to some people is not a good idea when it can be
15 easily removed. So -- and also, it's important to
16 return the capsule to the manufacturer and not leave
17 it behind. We don't wish it to become an orphaned
18 source in a corpse. The probability of a patient
19 dying during this procedure is remote. However, it
20 could easily be retrieved.

21 NICHOLAS PLAXTON: Do you guys reuse the
22 capsules?

23 DARREL FISHER: No. These are single-use
24 capsules.

25 WILLIAM ATHERTON: And do you produce the

1 source from your own company or -- where do you
2 produce the source?

3 ISRAEL HERSHKO: Yes. We have a second site in
4 Israel that we pay to produce the sources and
5 everything is controlled by the standard regulation.

6 CLARK ELDREDGE: How long is the -- I mean,
7 since we're talking about, of course, radioactive
8 source, what is the sort of maximum time between
9 manufacture and use for these things? How long can
10 they sit on the shelf before your source is decayed
11 too low?

12 YOAV KIMCHY: It's two to three weeks at the
13 most.

14 ISRAEL HERSHKO: The current status that we are
15 not putting the capsule at least on storage. It
16 goes directly to the licensee and within one or two
17 days, it has been swallowed.

18 JAMES FUTCH: Anybody else?

19 RANDY SCHENKMAN: Well, we really thank you for
20 this presentation. This was just a very good
21 presentation and we appreciate it. And we also
22 appreciate your answering all of our questions, so
23 thank you.

24 JAMES FUTCH: Israel, do you have any questions
25 for us?

1 ISRAEL HERSHKO: Maybe I have a request. We're
2 working with a few sites in Florida and would like,
3 would be happy that you guys will work with them in
4 order to get the licenses as soon as possible. We'd
5 like to, to get as much as -- more sites for the
6 study in order to get the final, to get the device
7 to the U.S. market.

8 JAMES FUTCH: You were talking about more
9 sites. Dr. Plaxton seemed interested in the small
10 bowel. I don't know if that means the VA has got an
11 interest or what.

12 ISRAEL HERSHKO: Be patient.

13 NICHOLAS PLAXTON: How many people do you need
14 here for your study to get, for FDA approval?
15 What's the ballpark number?

16 ISRAEL HERSHKO: Currently, we have 752. And
17 on those days we are in the contact with the FDA to
18 enlarge the study for about 1500.

19 JAMES FUTCH: We have several facilities
20 represented at the table and other people who deal
21 with more facilities represented at the table. So
22 if your facilities wanted to become interested -- to
23 be a part of using this device as part of the study,
24 what additional questions, what issues may you have?

25 CHANTEL CORBETT: Yeah. It's still going to

1 come back to, they have to have an IRB, so it's a
2 limited pool as to who can do it at this point.

3 ADAM WEAVER: Has to be a medical broad scope,
4 too.

5 CHANTEL CORBETT: It has to be broad scope
6 license, so that strictly limits, you know, who can
7 do it. And the authorized user, I'm assuming, has
8 to be the final read. So are they just doing an
9 overread of the interpretation that the manufacturer
10 sends, you know, to be compliant.

11 ADAM WEAVER: Yeah. Interpretation is a big
12 question in this state.

13 CHANTEL CORBETT: Yeah, I mean a lot of states
14 don't require the authorized user, you know, to be
15 listed on a license to read a study, but in Florida
16 it is, so --

17 JAMES FUTCH: So Darrel, you guys, that might
18 be a -- something to talk further about with the
19 sites, I guess. Kevin is not here, so I'm not sure
20 what his opinion is, but Chantel is pretty
21 knowledgeable about that, advising facilities.

22 DARREL FISHER: So those sites who are
23 interested in participating should contact
24 Check-Cap. Facilities with specific questions on
25 the radiation aspects, health physics, radiation

1 protection, may contact me at any time and will
2 facilitate response to questions and provide
3 technical support to whatever degree is requested?

4 JAMES FUTCH: Darrel, so this, this is a public
5 meeting in Florida, so the minutes of this will
6 eventually appear on our website, along with the
7 agenda, and the, and the presentation, itself.

8 Do you have -- do you want to send us the
9 contact information that you would like, like to be
10 posted there or --

11 DARREL FISHER: Yes. I will send that directly
12 to you.

13 JAMES FUTCH: Okay.

14 RANDY SCHENKMAN: Okay. Well, if no one else
15 has any comments on either side, again, we thank you
16 and the presentation is over.

17 JAMES FUTCH: Thank you guys. We much
18 appreciate it.

19 YOAV KIMCHY: Thank you.

20 (Applause)

21 JAMES FUTCH: We're going to sign off, I think,
22 and move on to other stuff.

23 ISRAEL HERSHKO: Bye, bye.

24 JAMES FUTCH: Take care.

25 RANDY SCHENKMAN: Well, that was very

1 interesting.

2 JAMES FUTCH: I have to breathe a huge sigh of
3 relief. Thank you, God. Thank you, Rob. I think
4 that worked pretty well.

5 WILLIAM ATHERTON: Where were they?

6 JAMES FUTCH: Darrel is in Washington State and
7 Yoav and Israel are actually in Israel. Tel Aviv, I
8 think. And then we had Joe pulled the rabbit out of
9 the hat from 50 years ago going to school with
10 Darrel. What are the chances of that?

11 WILLIAM ATHERTON: Fifty years ago?

12 RANDY SCHENKMAN: That's really funny.

13 JAMES FUTCH: Really small world. That's
14 incredible.

15 RANDY SCHENKMAN: Okay. Well, first of all, I
16 would like to welcome Dontavia, is that it?

17 DONTAVIA WILSON: Dontavia.

18 JAMES FUTCH: If I might jump in for a second.
19 We have menus for lunch, which is a half hour or so
20 away. And what do you want to do, Brenda?

21 BRENDA ANDREWS: Yes. If you would write your
22 name on the menu and circle what you would like to
23 order and pass those back in to me, I'm going to
24 take them over so they will have our lunches
25 prepared when we get there.

1 (Stood at Ease)

2 JAMES FUTCH: I have one question before we
3 move on while Brenda is -- to Dontavia. By the way,
4 thank you for MQA. The first MQA person from the
5 new crew.

6 What did you not want to say before the
7 Check-Cap crew? What were you thinking, because
8 we're putting it in the notes now.

9 CHANTEL CORBETT: I mean, the biggest question
10 going in, what they answered, is it going to be the
11 licensee's responsibility to track these down if
12 they don't come back to the manufacturer. So
13 assuming that the Florida regs are going to approve
14 the same as the NRC, that would be a no, but Florida
15 regs and NRC don't always agree, so --

16 WILLIAM ATHERTON: It didn't seem that much
17 more simple than a colonoscopy to me.

18 CHANTEL CORBETT: It's the lack of sedation,
19 it's the --

20 NICHOLAS PLAXTON: Much prep. It's a huge
21 difference.

22 KATHLEEN DROTAR: The prep.

23 WILLIAM ATHERTON: You still have to swallow
24 and put those things on your back.

25 NICHOLAS PLAXTON: Screening. I agree the

1 screening of the poop is --

2 REBECCA McFADDEN: The preop showed you have to
3 drink the capsule with the iodinated contrast drink,
4 so you're still drinking like a --

5 KATHLEEN DROTAR: No, it was one tablespoon of
6 iodinated for the first three or four days.

7 CHANTEL CORBETT: Yeah.

8 ADAM WEAVER: Just iodinated fluid once a day.

9 REBECCA McFADDEN: It wasn't like a
10 gastrograph, something nasty to drink.

11 CLARK ELDREDGE: They said it was a teaspoon,
12 tablespoon.

13 CHANTEL CORBETT: Tablespoon.

14 RANDY SCHENKMAN: And it was something
15 before --

16 CLARK ELDREDGE: It was several days before you
17 start taking --

18 NICHOLAS PLAXTON: Sedation, and then there's
19 complications from a colonoscopy. You can get a
20 perforation, so there's a lot of risk involved.

21 To me, I'm like, if they're not reusing the
22 capsules why not just let them go away because they
23 have the data on the backpack thing and, like, just
24 flush them all away and not retrieve them.

25 REBECCA McFADDEN: But it may be the thing in

1 the capsules.

2 ADAM WEAVER: I bet you they reuse the
3 detectors. I bet you they reuse some of them --

4 NICHOLAS PLAXTON: Some of the insides. That's
5 what I'm thinking.

6 ADAM WEAVER: Some of the insides. It's an
7 expensive detector.

8 REBECCA McFADDEN: I think he answered you
9 like, oh, no, they're not reusable. They want it
10 back.

11 NICHOLAS PLAXTON: Yeah, I think so. I bet you
12 they do, especially the thing with the person dying
13 that was kind of like --

14 CHANTEL CORBETT: That doesn't surprise me,
15 though.

16 KATHLEEN DROTAR: No.

17 ADAM WEAVER: Any kind of medical device is cut
18 out before --

19 KATHLEEN DROTAR: Yeah.

20 NICHOLAS PLAXTON: I would just leave it in
21 there.

22 CHANTEL CORBETT: Right, yeah, that's pretty
23 typical.

24 NICHOLAS PLAXTON: Not really.

25 ADAM WEAVER: Only if you're cremated.

1 CLARK ELDREDGE: The issue there, if the person
2 is being buried, it's like that's one thing. If
3 they're being cremated and they're grinding it up --

4 ADAM WEAVER: Like hips.

5 CHANTEL CORBETT: Yeah. I mean you don't know
6 and that's the other thing, I mean --

7 NICHOLAS PLAXTON: Hip replacements. They take
8 out the --

9 KATHLEEN DROTAR: Outside the VA they do.

10 JAMES FUTCH: You guys, one at a time. One at
11 a time.

12 KATHLEEN DROTAR: They know when you take
13 things out.

14 NICHOLAS PLAXTON: No way. I guess a lot of
15 people aren't buried anymore.

16 ADAM WEAVER: They do because it messes up
17 their machine. Their equipment.

18 CHANTEL CORBETT: Talking about taking out knee
19 replacements now.

20 NICHOLAS PLAXTON: It messes up their --

21 ADAM WEAVER: Not necessarily, I guess. Also
22 probably religion may come into play, too. Certain
23 religions.

24 NICHOLAS PLAXTON: Yeah.

25 JAMES FUTCH: He didn't want to say how

1 expensive the device was.

2 NICHOLAS PLAXTON: I'm sure they're expensive.

3 ADAM WEAVER: We didn't have a need to know
4 that.

5 CHANTEL CORBETT: That's because, well, usually
6 in trial, though, it's different than what they end
7 up being, too.

8 NICHOLAS PLAXTON: Sure.

9 CLARK ELDREDGE: And that's basically
10 proprietary business.

11 CHANTEL CORBETT: Right.

12 JAMES FUTCH: I mean, you know, if it cost
13 \$10,000 per capsule or something.

14 WILLIAM ATHERTON: They may not want people to
15 know the design of a capsule, either. That's why
16 they want to retrieve every single capsule. They
17 don't want people to dissect it.

18 NICHOLAS PLAXTON: I don't know who's going
19 after that thing, digging through the sewers.

20 REBECCA McFADDEN: Someone is cracking it open.
21 They want to see what's inside. It happens.

22 NICHOLAS PLAXTON: Yeah.

23 RANDY SCHENKMAN: And what were they saying
24 about the interpretation? It has to be interpreted
25 by --

1 CHANTEL CORBETT: Well, they have people who
2 are interpreting the data there.

3 NICHOLAS PLAXTON: Yeah, analysts, which are
4 non-medical.

5 ADAM WEAVER: A few people in the states.

6 CHANTEL CORBETT: Which is fine.

7 NICHOLAS PLAXTON: Probably.

8 RANDY SCHENKMAN: And then they send the
9 interpretation --

10 CHANTEL CORBETT: Back to the facility.

11 NICHOLAS PLAXTON: Actually, only the ones that
12 are positive are being then screened, overread by a
13 doctor is what it sounds like. But it's only
14 doctors that have -- are in the study. Not the
15 authorized user.

16 CHANTEL CORBETT: Well, you would have to have
17 a read, though. It's the same as giving a therapy
18 capsule and a patient walking out nowadays. I mean,
19 you know, you still have to read that as an
20 administration.

21 NICHOLAS PLAXTON: I agree, that that probably,
22 if it becomes, like, a thing.

23 CHANTEL CORBETT: A thing.

24 NICHOLAS PLAXTON: Yeah, I agree, that's
25 probably how it would have to be.

1 ADAM WEAVER: It sounds like they have a
2 computer AI looking at it first.

3 JAMES FUTCH: Clark can see that.

4 NICHOLAS PLAXTON: They will eventually.

5 REBECCA McFADDEN: That's what they said. And
6 then someone looks at that and then it goes to the
7 doctor.

8 ADAM WEAVER: It converts that raw data into a
9 nice picture.

10 CHANTEL CORBETT: Right.

11 NICHOLAS PLAXTON: Yeah.

12 KATHLEEN DROTAR: And they were saying, too,
13 that it's gastroenterologists that are doing that,
14 so, under licensing.

15 CHANTEL CORBETT: No, no, no, that's what I'm
16 saying. It's the same kind of thing. You can have
17 a cardiologist read a study and you can have a
18 gastroenterologist read a study, but as long as
19 they're overread by an AU.

20 KATHLEEN DROTAR: Yeah. I wish that they were
21 giving the patient the capsule by a
22 gastroenterologist is what I heard.

23 ADAM WEAVER: Yeah, but there's not many GIs
24 listed as authorized users. It's not a common part
25 of their practice.

1 KATHLEEN DROTAR: Yeah. Well, that would be --

2 CHANTEL CORBETT: Not usually.

3 ADAM WEAVER: It's not a common part of their
4 practice.

5 NICHOLAS PLAXTON: I mean, they could. There's
6 nothing that prevents them, but --

7 CHANTEL CORBETT: Right.

8 ADAM WEAVER: Maybe if they were associated
9 with a hospital.

10 KATHLEEN DROTAR: Yeah, that's what I was
11 thinking.

12 JAMES FUTCH: So Dr. Fisher has provided his
13 contact information for facilities or the general
14 public.

15 CHARLES HAMILTON: By the way, what Chantel
16 said, though, at least one authorized user on the
17 license has to make an interpretation for every
18 diagnostic study.

19 KATHLEEN DROTAR: Right.

20 CHANTEL CORBETT: Right.

21 CHARLES HAMILTON: But so can everybody else in
22 the world.

23 ADAM WEAVER: Yeah. And they can do it after,
24 after the fact. After the -- they have looked at it
25 first.

1 CHANTEL CORBETT: Right.

2 ADAM WEAVER: Then it comes down to the
3 authorized user in Florida. He or she can look at
4 it and say --

5 CHANTEL CORBETT: Right.

6 ADAM WEAVER: -- I agree, I don't agree.
7 Whatever.

8 CHANTEL CORBETT: Right. See above. Here's my
9 signature.

10 ADAM WEAVER: Yeah. What can I charge.

11 CHANTEL CORBETT: Right.

12 CLARK ELDREDGE: I have a question. What part
13 of the analysis is the practice of medicine, which
14 means it has to be an MD, you know, OD that's -- or
15 DO, I mean, that's doing the interpretation or
16 signing off on it.

17 CHANTEL CORBETT: I don't know any AUs that are
18 not already.

19 CLARK ELDREDGE: Right. But I'm saying even
20 the pre-stuff.

21 CHANTEL CORBETT: That I know of. Maybe there
22 are.

23 ADAM WEAVER: Right.

24 CLARK ELDREDGE: I know the PA is the final
25 signature that covers it.

1 NICHOLAS PLAXTON: Yeah. I mean, there should
2 be someone, there should be, some doctor should be
3 overreading this.

4 CHANTEL CORBETT: Yeah.

5 NICHOLAS PLAXTON: Which it sounds like they're
6 doing now, but I think there's four doctors.

7 CHANTEL CORBETT: Right. And I mean, in other
8 states, the authorized users are not on licenses. I
9 mean, they don't have to be to read studies. I
10 mean, it is different depending on the states, so --

11 NICHOLAS PLAXTON: Mm-hmm.

12 ADAM WEAVER: It comes down to cost. Is it a
13 lot less.

14 WILLIAM ATHERTON: Some people don't want to
15 go --

16 CHANTEL CORBETT: And is it going to be
17 covered.

18 WILLIAM ATHERTON: Some people don't want to
19 go.

20 ADAM WEAVER: That is true.

21 CHANTEL CORBETT: That's going to be really
22 what it really comes down to, because a lot of
23 people aren't going to be cash pay to avoid it.

24 NICHOLAS PLAXTON: It would be interesting to
25 know, like, how many people don't screen for

1 colonoscopies. I'm sure it's pretty high.

2 CHANTEL CORBETT: I mean, what is the box thing
3 that, you know, they're sending out nowadays. I
4 mean, that's pretty popular it seems like.

5 JOSEPH DANEK: Yeah, that's true.

6 NICHOLAS PLAXTON: What is the box? What box?

7 CHANTEL CORBETT: The colon --

8 ADAM WEAVER: Analyzing your sample.

9 CHANTEL CORBETT: You send in your sample.

10 NICHOLAS PLAXTON: Like the blood?

11 ADAM WEAVER: Your sample.

12 RANDY SCHENKMAN: You send in the stool.

13 NICHOLAS PLAXTON: The whole stool?

14 JOSEPH DANEK: You send it in and they check
15 the blood.

16 KATHLEEN DROTAR: Yeah. A little sample.

17 CHANTEL CORBETT: Yeah, I don't remember the
18 name of it. It's on T.V.

19 KATHLEEN DROTAR: I can't, either. I just see
20 the little blue and white box.

21 JOSEPH DANEK: It's on T.V.

22 CLARK ELDREDGE: Cologuard.

23 RANDY SCHENKMAN: Cologuard, that's right.

24 KATHLEEN DROTAR: Yeah, Cologuard.

25 CHANTEL CORBETT: Cologuard, right. They send

1 you a kit, you collect your stool, you send it back,
2 they do the analysis, and they say, oh, you're high
3 probability, low probability.

4 NICHOLAS PLAXTON: I mean, yeah, it's a fancy
5 version of the guaiac card, where you just swipe it.

6 CHANTEL CORBETT: Yeah.

7 NICHOLAS PLAXTON: I mean, the problem with
8 that is usually, if you're getting blood in your
9 stool, you're already further along. You already
10 have cancer.

11 RANDY SCHENKMAN: Right. This is looking
12 for --

13 CHANTEL CORBETT: I mean --

14 RANDY SCHENKMAN: -- earlier than that.

15 CHANTEL CORBETT: But I mean, like, primary
16 physicians are using that now, though, for the
17 initial screening. Like, at 50. Even if they're
18 not having issues.

19 NICHOLAS PLAXTON: Well, that's what I'm
20 saying. But that means that a lot of people must be
21 refusing to get colonoscopies is what I'm saying.
22 Because colonoscopy is definitely the best.

23 CHANTEL CORBETT: It's the gold standard. But
24 it's expensive and it's a procedure.

25 NICHOLAS PLAXTON: Yeah, and it takes time.

1 There's risk of the.

2 ADAM WEAVER: It's also -- yeah, money plays
3 into it.

4 CHANTEL CORBETT: Yeah.

5 ADAM WEAVER: That other test is very
6 reasonable.

7 JOSEPH DANEK: Some people don't want to get
8 knocked out. Are afraid to get knocked out.

9 CHANTEL CORBETT: Yeah.

10 RANDY SCHENKMAN: And this finds it earlier.

11 NICHOLAS PLAXTON: Yeah. This is definitely
12 way earlier.

13 RANDY SCHENKMAN: This is finding the polyps
14 rather than the cancer.

15 KATHLEEN DROTAR: Insurance pays for one every
16 ten years.

17 CHANTEL CORBETT: Right.

18 NICHOLAS PLAXTON: Yeah, it definitely has a
19 benefit, that's for sure.

20 KATHLEEN DROTAR: Well, colonoscopies, the
21 insurance reimbursement is one every ten years, so
22 if this is in between they're checking for polyps.

23 NICHOLAS PLAXTON: Yeah.

24 KATHLEEN DROTAR: Because that's not going to
25 show on Cologuard, I don't think.

1 NICHOLAS PLAXTON: Nope.

2 KATHLEEN DROTAR: Pros and cons. Sounds like
3 medicine.

4 CHANTEL CORBETT: Right. Yep. Always fun the
5 discussions we have.

6 NICHOLAS PLAXTON: Yeah.

7 RANDY SCHENKMAN: Okay. Now we're going to
8 move on. First we're going to welcome Dontavia
9 Wilson. She is going to go over the Medical Quality
10 Assurance update and we just want to thank you and
11 welcome you.

12 DONTAVIA WILSON: Thank you. Thank you for
13 having me. It is definitely a pleasure to actually
14 be here and to see each of you.

15 To kind of get started with the few things that
16 I would like to provide you all, within our office,
17 we have, we have about three processors that process
18 all of our radiology technologists' applications,
19 okay? We have experienced, well, and I feel like,
20 honestly, MQA has experienced a lot of vacancies
21 within each office. I kind of feel like that's a
22 worldwide thing. But we have actually filled every
23 position.

24 Everyone is -- or the newest ones that we have,
25 they are being trained. So our -- the increase

1 within our workload has definitely, you know, it's
2 picked up. Our applications have been processed and
3 we're on an average -- applications are being
4 processed within an average of no more than two days
5 initially.

6 The number of applications that we've received
7 and processed since the last annual Council meeting
8 is a total of 2,578 applications. For -- more so
9 the fiscal year is what the data provided me with.
10 With the number of licensed or licenses that were
11 issued for that time span, for radiology
12 technologists, were 1,797 applications -- or, yes,
13 applications. For radiologist assistants, they were
14 only five. However, with the applications received,
15 we have a lot of open applications because the
16 applicants are deficient.

17 One of the most common deficiencies that we
18 have when trying to approve or make someone eligible
19 to sit for the exam for ARRT, is the -- a photo I.D.

20 But for the most common deficiency when trying
21 to issue a temporary license, would be the photo
22 I.D. The difference is, if an applicant applies,
23 like Zam, to the department first, then to ARRT, the
24 department will receive the exam results
25 automatically, right? However, if the applicant

1 registers with ARRT first, then applies with the
2 department, the applicant actually has to submit
3 those exam results to us. So that kind of, I feel
4 like, it puts a little delay within processing.

5 And then also, with the endorsement
6 applications, if an applicant applies by
7 endorsement, that means they have already submitted
8 their registration to, or they've already registered
9 for the ARRT and they've already tested, a lot of
10 times it -- they submit an application by
11 endorsement, either they haven't tested and it just
12 kind of, you know, put that delay out there.

13 So I would say if, you know, you come across
14 students or anyone that is trying to apply for a
15 radiology technologist application, that they would
16 need to submit, I would prefer, or the office would
17 prefer if they would -- if they're trying to sit for
18 the exam, to submit the application to the
19 department first and then register with ARRT. To
20 kind of -- because once we go in to process the list
21 that we receive, then we can actually sync the
22 information, versus to having to sit and actually
23 wait on the applicant to, you know, send in all of
24 their documentation, okay?

25 KATHLEEN DROTAR: Actually, there's a problem

1 with that. If the, if the graduate or student
2 submits to Florida first before applying for the
3 ARRT, then their certification is only for Florida.
4 It doesn't pertain to the national exam.

5 DONTAVIA WILSON: I didn't quite hear that.

6 JAMES FUTCH: Would you share the mike?

7 KATHLEEN DROTAR: Oh, I'm sorry. When a
8 graduate or student -- I'm a program director.

9 RANDY SCHENKMAN: It's not on.

10 KATHLEEN DROTAR: Not on. Oh, there we go.

11 ADAM WEAVER: He had to turn it on.

12 JAMES FUTCH: That's okay. Now we're going to
13 compete with the lawn crew outside.

14 KATHLEEN DROTAR: So I had a student that did
15 that at one time and she applied to the State --
16 actually, the application got to the State before
17 the one got to ARRT.

18 DONTAVIA WILSON: Okay.

19 KATHLEEN DROTAR: And she was only licensed in
20 the State of Florida. So if the students don't
21 apply to ARRT first, then, then they're not going to
22 have a national certification, which is the whole
23 object of ARRT.

24 CHANTEL CORBETT: ARRT is going to issue the
25 certification regardless.

1 KATHLEEN DROTAR: No. She had to retake the
2 exam because she's only in Florida.

3 JAMES FUTCH: Same exact test. ARRT will not
4 recognize passage for Florida as acceptable for
5 endorsement in the ARRT. They'll make them take the
6 test again. This is, so -- let me back up.

7 CHANTEL CORBETT: Are they selecting a
8 different option on the ARRT testing site?

9 JAMES FUTCH: No.

10 KATHLEEN DROTAR: No.

11 JAMES FUTCH: That's an ARRT thing. They don't
12 accept -- so let me back up for just a second. So
13 Dontavia works in the Division of Medical Quality
14 Assurance, which is a sister division to the one
15 we're here in, Bureau of Radiation Control, so we're
16 both Department of Health employees. And Dontavia
17 is on the half that is dealing with the applications
18 on the incoming side for, you know, whatever
19 endorsement or for exam for Rad Techs, radiologist
20 assistants, as well as EMTs, paramedics, mental
21 health. I've forgotten --

22 DONTAVIA WILSON: I have eight different
23 professions.

24 CHANTEL CORBETT: Nuclear profession.

25 JAMES FUTCH: There's a lot of different

1 things. So the issue, we've been wrestling with
2 this for a number of years, all of us from all three
3 sides, about the optimal way to do this.

4 Here are the constraining factors. When they
5 apply to the State of Florida, when Kathy's
6 applicants apply to the State of Florida, they will
7 also be applying to ARRT. And they will -- wow,
8 suddenly my mike became much more powerful. Thank
9 you, Rob. I'll lean closer.

10 So if they do that, ARRT will not let them take
11 the test for both purposes, so --

12 CHANTEL CORBETT: Did that change?

13 KATHLEEN DROTAR: No.

14 JAMES FUTCH: Could be. ARRT has undergone a
15 lot of changes over the years.

16 CHANTEL CORBETT: It's been twenty years since
17 I took mine.

18 JAMES FUTCH: So let's say the application gets
19 processed through Florida first. And then it goes
20 to ARRT and the ARRT application gets there, you
21 know, secondarily. There's a spot where ARRT will
22 ask them a choice. If you would like this to count
23 for the ARRT, check here, and it will count for the
24 ARRT. If you would like this to count for the State
25 of Florida, check here, and it will count for the

1 State of Florida. There's no let it count for both.

2 CHANTEL CORBETT: So they need to have it
3 checked. They need to count it for the ARRT.

4 JAMES FUTCH: Because that's what they care
5 about the most.

6 CHANTEL CORBETT: Well, yeah, because that way
7 it would be national regardless of how it --

8 JAMES FUTCH: If you do it the other way,
9 you're going to take the test twice.

10 CHANTEL CORBETT: Right, which is retarded.

11 JAMES FUTCH: We will accept their results and
12 their registration certification for endorsement in
13 Florida. ARRT will not accept any state, not just
14 Florida, that uses their test, who passes -- whose
15 applicant passes by the same passing score,
16 administered in the same testing center, by the same
17 personnel, under the same procedures that they use,
18 because it's their process.

19 CHANTEL CORBETT: Right. But what I'm saying
20 is, if they select the one that says use for ARRT,
21 the State of Florida accepts that. So there's no
22 reason to select the one that says use for Florida.

23 JAMES FUTCH: We do accept it -- we do accept
24 it, but we don't actually always get the result back
25 from ARRT.

1 DONTAVIA WILSON: That's where the applicant
2 would actually have to send us, which --

3 CHANTEL CORBETT: Well, I mean, it sounds like
4 that's going to be the way it's going to have to be.

5 JAMES FUTCH: We get something back.
6 Eventually, the applicant will sell us either the
7 scores or send us the actual license from ARRT. But
8 that's another little hurdle, little bump in the
9 process. They filled out an application for this
10 purpose.

11 CHANTEL CORBETT: Right.

12 JAMES FUTCH: Signed the whole thing that says,
13 I want to do this, you know, by exam, so forth and
14 so on. Now they've got a license. They want to
15 come in by endorsement.

16 CHANTEL CORBETT: So basically, they just need
17 to skip the exam part and do it by endorsement and
18 just --

19 KATHLEEN DROTAR: No, that doesn't happen,
20 either.

21 JAMES FUTCH: So, so as to what is the best,
22 you'll probably get three different answers for what
23 is the best. If your goal is to start work
24 immediately upon your date of graduation, I think
25 that's the driving force behind the students

1 applying to, to us at all because then they get a
2 temporary license. And those facilities that will
3 let them work on a temporary license, they can go to
4 work on a temporary license. If it wasn't for that
5 tiny little factor, I think it would probably be
6 best for all concerned to just apply straight to
7 ARRT, get that; apply to us by endorsement.

8 CHANTEL CORBETT: Right. These days, aren't
9 the results immediate or no?

10 KATHLEEN DROTAR: Not working. So -- and I'm
11 glad you're here.

12 JAMES FUTCH: You guys need to have a chat at
13 lunch.

14 DONTAVIA WILSON: Yes. I'm going to give you
15 my business card as well.

16 JAMES FUTCH: Extensive discussion at
17 lunchtime.

18 KATHLEEN DROTAR: I would let you finish. You
19 were going to present and then we can talk.

20 DONTAVIA WILSON: I didn't have much. That was
21 more so the main thing of, you know, what I want to
22 kind of talk about as far as what, the temporary
23 license being issued or, and how the applicants
24 apply from or for exam versus to endorsement.

25 KATHLEEN DROTAR: Yeah, because we've always

1 sent that verification letter to show the -- to show
2 that they completed the educational component. And
3 in December, my students applied for temporary
4 license; waited two months for a license and it's
5 been, you know, this is -- we've been sort of trying
6 to work this out.

7 And then students -- so this time, we said,
8 okay. Wait until you get your ARRT back and then
9 apply. Also, a couple of the students that --
10 graduates at that time, that applied for that
11 temporary, when they went to change and sent their
12 ARRT results in to get it changed to permanent,
13 because the temporary hadn't been issued, they were
14 charged another \$45 to apply for that after having
15 paid \$50 for their -- for the temporary license.
16 Yeah. And we also had a student in West Palm who
17 was told when they called DOH, that the temporary
18 license was no longer being issued.

19 DONTAVIA WILSON: No, the temporary licenses
20 are still being issued. It is actually a part of
21 the eligibility approval letter for the exam. Like
22 I said, the only issue that I've been made aware of
23 is more so of having the I.D. match or the I.D.
24 match what they have submitted for ARRT. It doesn't
25 match. So then an issue occurs, and we're like, um,

1 can you actually send this or send a copy in to us
2 so that, you know, we can actually, you know, get it
3 over to ARRT.

4 KATHLEEN DROTAR: Yeah. So I think maybe I
5 could help you with that.

6 DONTAVIA WILSON: Yes. Let's work together.

7 JAMES FUTCH: I think it's an excellent idea if
8 you --

9 DONTAVIA WILSON: Let's work together.

10 RANDY SCHENKMAN: Coordinate here.

11 JAMES FUTCH: Specifically you two.

12 DONTAVIA WILSON: Yes.

13 JAMES FUTCH: With specifics, even if you don't
14 have them today, for all those things you mentioned.
15 What I have seen -- We have a single Department of
16 Health, depending if you count the number of
17 employees, 15,000 or so, something like that. And
18 MQA is in the same building, floor above us, but
19 there's a lot of moving parts. Kind of like this
20 meeting. And you mentioned, one of the things they
21 applied by exam and they paid \$50 and it's \$45 for
22 endorsement. And for some reason, somebody told
23 them they had to pay again.

24 Typically, what happens is, Dontavia's staff
25 actually have to go in, find the money that was used

1 for the exam process, like \$50, and then using that
2 new application, or at least the new page that says
3 yes, I want to apply by endorsement, transfer it --

4 DONTAVIA WILSON: Yep.

5 JAMES FUTCH: -- in the very complicated system
6 they use to apply it to this new application. You
7 can tell this doesn't sound like a process they want
8 to go to too many times. Obviously, your person
9 obviously got the wrong information from whatever
10 staff person gave it to them. They shouldn't be
11 allowed to do that.

12 KATHLEEN DROTAR: Two people I know. And also
13 on the application, itself, it says that -- where is
14 it? When you're applying by endorsement, that then
15 you have to -- then you go and apply for the, for
16 the -- to the ARRT and apply for the exam. And
17 that's sort of -- yeah. There's, there's a few
18 things, so I appreciate you being here.

19 DONTAVIA WILSON: Let's --

20 JAMES FUTCH: I'm glad we're all here.

21 KATHLEEN DROTAR: We can talk later.

22 CHANTEL CORBETT: I think the only weird thing
23 I had, I had somebody call and try to get hired as a
24 CT tech that had their provisional window for ARRT,
25 because they give a three-year window that they have

1 to take their exam. And they were under the
2 impression that they could work during that time.

3 JAMES FUTCH: Yeah.

4 CHANTEL CORBETT: Yeah. And they'd actually
5 been tentatively hired and then that person called
6 me and said, um, HR said this is going on but I
7 don't think this is right. So we caught it in time,
8 but it was --

9 JAMES FUTCH: We have a -- in addition to that,
10 there's a great deal of confusion that we've seen
11 over the years about ARRT and us because we use the
12 ARRT's exam. We use their testing process. People
13 will send things to us and they'll call us ARRT. I
14 don't, I don't know what to do with some of that,
15 but, yes.

16 DONTAVIA WILSON: I'm sorry.

17 JAMES FUTCH: I very much wish ARRT will
18 consider our exams be eligible for endorsement for
19 ARRT. That would help a lot, but they don't.

20 RANDY SCHENKMAN: That's it? Okay.

21 DONTAVIA WILSON: That's all I have.

22 RANDY SCHENKMAN: Charlie, do you have anything
23 to add here on radioactive materials update?

24 CHARLES HAMILTON: Oh, yeah.

25 RANDY SCHENKMAN: Okay.

1 CHARLES HAMILTON: Do you have the slides?

2 JAMES FUTCH: You got ten minutes.

3 CHARLES HAMILTON: Okay. I can do it in five.

4 JAMES FUTCH: We'll have to finish with
5 Charlie.

6 CHARLES HAMILTON: Good morning. I'm Charles
7 Hamilton. I'm here representing the material
8 section for licensing in the absence of our fearless
9 leader Kevin Kunder.

10 A couple things on personnel. We have,
11 currently we have three license evaluators and we'll
12 show you on the numbers, we're doing about 200
13 licensing actions a year. We're advertising.
14 Finally got a candidate for a vacant evaluator
15 position, which has been vacant since August. So
16 we've been having a hard time getting qualified
17 applicants to apply and then get them to agree to
18 work and not telework for the salary that we offer.

19 So the number of licenses, we currently have
20 1532. You'll see on there, 648 of those are 5Cs,
21 which are outpatient medical facilities. Some,
22 what, 172 hospitals. So 70 percent of our licenses
23 are all Category 5 medical licenses. That also
24 accounts for 70 percent of the workload, which I say
25 we do about 200 licensing actions per month. It

1 comes out to about right around 2,000 per year.

2 The top industrial license category we have is,
3 of course, portable gauges, which are the most
4 likely to be stolen. And then, what is it, scroll
5 up real quick. A little bit more. So, okay. So
6 3B, that's nuclear pharmacies. We've got 34 of
7 those and 18 industrial radiographers. So that
8 fluctuates a little bit. But, of course, the
9 growing one, the most growing one is either mobile
10 nuclear medicine or outpatient standalone medical
11 facilities.

12 And as we discussed before, we do have, we do
13 have -- you have to be a broad scope medical to do
14 the Cap Tech for the clinical trials if it's not FDA
15 approved yet. We currently have five broad scope
16 medicals, of which one, Mayo Clinic, is now
17 performing clinical trials until approximately June
18 or December of 2023 or until the FDA approves it.

19 So you've got the information, contact
20 information if you wanted to contact to pursue the
21 clinical trials for the Cap Tech system.

22 And lastly, I wanted to talk about an upcoming
23 NRC comment.

24 JAMES FUTCH: You're going to probably have
25 lots to discuss.

1 CHARLES HAMILTON: -- comments for rule making.
2 It's regarding extrasuvasions (ph). So
3 historically, NRC has not required the reporting of
4 a medical event for anything that has to do with
5 extrasuvasions (ph). But there's been --

6 JAMES FUTCH: This is the STC one.

7 RANDY SCHENKMAN: Extravasations.

8 CHARLES HAMILTON: Right. So they're now
9 considering it. And again, 8-24 is for public
10 comment about what they may or may not do in
11 relations to the rule change.

12 So currently, again, anything to do with
13 extrasuvasions does not, will not constitute a
14 medical event. But there's a potential with -- if
15 the rule, the rule changes go through, they're going
16 to define what extrasuvasions are. What's the
17 definition -- highlight the definitions.

18 JAMES FUTCH: Do you want me to show it?

19 RANDY SCHENKMAN: In medicine, we call it
20 extravasations.

21 CHARLES HAMILTON: Thank you.

22 RANDY SCHENKMAN: That's how, that's how it --
23 Extravasation.

24 CHARLES HAMILTON: Extravasation. Okay.

25 JAMES FUTCH: Let me jump in. There's a lot of

1 documents about this. The, the NRC has this meeting
2 that Charlie is talking about that's taking place
3 next week about the rule making. There is an STC
4 that went out to all the states, which is what I was
5 showing a second ago, that has a summary of the
6 history that's happened with this. The -- this
7 started a little while ago. There's a particular
8 device from a particular company --

9 CHANTEL CORBETT: That benefits.

10 JAMES FUTCH: -- that Charlie is talking about.
11 This is actually the slides from the public meeting
12 that's going to take place on May 24th of next week.
13 And they're looking for, you want to go back to what
14 you were talking about?

15 CHARLES HAMILTON: Yeah. I'm going to just --

16 JAMES FUTCH: So the rule language is in here.
17 Let me just scoot down to it. So this is what you'd
18 see if you actually dialed into this thing next
19 week. This lady, Irene Wu, is going to be on.
20 She's going give you some history.

21 And here's the public rule making petition.
22 And then, Mr. DiMarco is going to get on and talk
23 about what the rule language is, which is going to
24 show up here in red in just a second. Is the
25 proposed ruling which -- so these are the newer

1 revised definitions.

2 CHANTEL CORBETT: So there's no quantification
3 that I've seen on this, right? So it doesn't say if
4 there's a certain percentage or certain, you know.

5 JAMES FUTCH: No. Not that.

6 CHANTEL CORBETT: There's no way. It just says
7 leakage.

8 JAMES FUTCH: Nothing we have seen anywhere in
9 these docs.

10 NICHOLAS PLAXTON: Crazy.

11 JAMES FUTCH: We get the impression that
12 there's a, there's a company that had a device
13 that's used to measure the amount of extravasation
14 near the injection site and other places. And
15 they've made some headway with that in, in a certain
16 state. There's been a letter from some
17 Congressional members to NRC suggesting they need to
18 do something to revisit this issue, which is the
19 driving force, I think, behind the rule making. And
20 this is what staff, based upon their advisory
21 committee on medical use of isotopes, has, has given
22 them guidance and this is the product of that.

23 But Chantel, you're right. There -- I haven't
24 seen anything in here that specifies, well,
25 quantitatively, what is the suspected radiation

1 injury? What is the level --

2 CHANTEL CORBETT: Right. To my knowledge,
3 nothing we inject in nuclear medicine is going to
4 fall into that last category as a diagnostic.

5 ADAM WEAVER: Yeah, diagnostic.

6 CHANTEL CORBETT: I mean, therapy is a
7 different story, but it's not specifically saying
8 therapy. It's saying all injections.

9 JAMES FUTCH: Right.

10 NICHOLAS PLAXTON: Yeah.

11 JAMES FUTCH: I believe, if you follow through
12 with this, there's a whole bunch of questions that
13 they have.

14 CHANTEL CORBETT: Is conflict of interest one
15 of them, because I mean --

16 ADAM WEAVER: Who submitted the request?

17 CHANTEL CORBETT: Right. The request was
18 submitted by the device manufacturer to change this.
19 It's just beneficial to them.

20 JAMES FUTCH: There is a part of this that
21 appears to allow for, at least some aspects of, of
22 the parties involved would like to allow for the
23 use, of course, not just this company's device, but
24 also the facility's existing equipment and their
25 existing radiation staff to monitor and whenever

1 they hit that level, whatever that level will be,
2 then declare that to be a medical event.

3 NICHOLAS PLAXTON: But that's not going to
4 happen with imaging. You're never going to get to
5 that level. You can blow the whole -- you can
6 inject the entire thing into the muscle and not even
7 hit the vein. You're not going to have a medical
8 event.

9 JAMES FUTCH: Let me show you -- so everything
10 you see on here is conceivable. Doggone it. Hold
11 on.

12 NICHOLAS PLAXTON: You'll have a wasted exam.

13 ADAM WEAVER: You won't get your image.

14 NICHOLAS PLAXTON: You just won't get your
15 image and you'll have to repeat it.

16 CHANTEL CORBETT: Right. That's what I was
17 saying. If your definition is like, an extravasated
18 dose, period, any bit of it, that's a whole
19 different animal.

20 JAMES FUTCH: Anyway, we collected a large
21 number of documents for this. What Kevin, I think,
22 wants is just to make sure the Council's aware this
23 meeting is taking place next week so that you can,
24 you know, listen in to it. And I think he wanted us
25 to show you the questions and the rule making

1 language right now, if we still have time. It's 12
2 o'clock. Do we take a break and come back to this?
3 Yeah, probably.

4 NICHOLAS PLAXTON: Break it.

5 JAMES FUTCH: We might be here for a little
6 time. Since it's lunch time.

7 RANDY SCHENKMAN: You have a lot of stuff in
8 here, too. Extravasation events that cause
9 permanent functional damage?

10 CLARK ELDREDGE: Right. These are -- the
11 report to NRC from the ACMUI subcommittee is
12 included in your packets. And they have their
13 options listed of how to determine how to address
14 what the described parameters are and the potential
15 options involved and how it could be adopted.

16 CHANTEL CORBETT: Right.

17 CLARK ELDREDGE: Right.

18 CHANTEL CORBETT: So just say opposed and be
19 done?

20 JAMES FUTCH: Before we go to lunch, let me
21 just scroll down here and show you the questions
22 because they go on for a while.

23 Just about the definitions. What term should
24 they use when describing it. What criteria should
25 they use to define suspected injury and the same

1 thing for the methods for medical attention.

2 And then there's some more proposed rule
3 language using those definitions. For any
4 administration which extravasation can occur, must
5 involve procedures that provide high confidence that
6 extravasation that requires medical attention for
7 suspected radiation injury, ding, ding, ding, the
8 two brand-new key words --

9 CHANTEL CORBETT: Right.

10 JAMES FUTCH: -- will be detected and reported.
11 So wide open, at this point, for what those are.
12 The written procedures in (a) must address how they
13 determines that it meets the criteria for a medical
14 event.

15 CHANTEL CORBETT: Once they establish the
16 criteria, so --

17 JAMES FUTCH: Yeah. And then, of course,
18 retain a copy of the procedures and there's
19 something about retaining the records and the
20 reports.

21 And here's more questions. What steps to take
22 to minimize the chance -- you guys can read. What
23 steps should the licensee take when it's discovered?
24 What imaging technologies procedures should be used
25 to help identify during or after the injection?

1 So this would be where, I guess, folks would
2 step in and say, not some extra device that we have
3 to buy from wherever the heck else it comes from.
4 We have tools, if you do have tools, to do it or
5 not.

6 CHANTEL CORBETT: Yeah.

7 JAMES FUTCH: Next set of questions.

8 RANDY SCHENKMAN: Well, in here, they have the
9 conclusion and recommendations.

10 JAMES FUTCH: Let me throw that up there so you
11 guys can see that.

12 Sorry. I have that in the wrong place here.
13 What page are you on?

14 RANDY SCHENKMAN: It's the last page.

15 JAMES FUTCH: Here you go.

16 RANDY SCHENKMAN: And option four is just above
17 it. The page before. There it is.

18 CHANTEL CORBETT: I mean, basically, there's
19 like, you know, there's option four, it says, like,
20 an aide is going to have to determine that it's
21 caused by radiation. Like the injury. But then it
22 says that dosimetry is not going to be required, so
23 I'm not sure how you're going to say it's a
24 radiation-induced injury with no dosimetry. That
25 doesn't make any sense.

1 NICHOLAS PLAXTON: And again, these are
2 diagnostic levels that are not going to -- there's
3 no possibility --

4 CHANTEL CORBETT: I mean, technically, it could
5 be anything, though. We have a ton of therapy
6 injections now.

7 NICHOLAS PLAXTON: Yeah.

8 JAMES FUTCH: So before we started sending out
9 stuff about this and talking about it with you, how
10 high visibility did this issue have? Was anybody
11 aware of this?

12 NICHOLAS PLAXTON: Oh, yeah. It's gone to --
13 well, it came out a few years ago, because
14 specifically, because of this company came out with
15 a device to measure, you know --

16 JAMES FUTCH: Right.

17 NICHOLAS PLAXTON: -- they used to strap it on
18 your arm and measure this, you know, if there's been
19 or hasn't been an extravasation. So, but the thing
20 is, is that's not medically necessary and it's a
21 waste of time. So it's like --

22 JAMES FUTCH: You're thinking for diagnostic.

23 NICHOLAS PLAXTON: Yeah, definitely diagnostic.
24 And there's very few, like, the thyroid treatment is
25 oral. We do Xofigo now, which is the alpha emitter,

1 so that doesn't matter.

2 CHANTEL CORBETT: You've got the Lutetium stuff
3 now. There's actually a liquid iodine injection
4 now, too.

5 NICHOLAS PLAXTON: Yeah, that's the only one
6 that you can consider. Yeah.

7 JAMES FUTCH: So was the committee aware of the
8 meeting next week?

9 NICHOLAS PLAXTON: I'm not sure of the
10 committee, but this has been brought up at our --
11 the Society of Nuclear Medicine multiple times,
12 so -- and there's, like, a resounding, you know, the
13 only people that are pushing for this are the people
14 that work for the company.

15 ADAM WEAVER: Is it into the balloon?

16 JAMES FUTCH: So if this --

17 CHANTEL CORBETT: I mean, to my knowledge,
18 there's no known injuries from this.

19 NICHOLAS PLAXTON: Yeah, there isn't. There's
20 not.

21 ADAM WEAVER: Yeah, for diagnostic. Even
22 therapy would be an acute injury.

23 CHANTEL CORBETT: Even therapy -- yeah, I was
24 going to say even therapy, I don't know of any.

25 NICHOLAS PLAXTON: Even if you use -- what we

1 use, I don't think would cause an injury.

2 JENNIFER PETERSON: I've seen it from therapy.

3 I had patients that actually had that.

4 Extravasation and soft tissue damage.

5 RANDY SCHENKMAN: And had what?

6 JENNIFER PETERSON: Had extravasation and soft

7 tissue damage to their arm. But it happened weeks

8 later. It wasn't --

9 KATHLEEN DROTAR: From what?

10 ADAM WEAVER: It wasn't, wasn't immediate.

11 NICHOLAS PLAXTON: From what? From what type

12 of --

13 KATHLEEN DROTAR: From what?

14 JENNIFER PETERSON: Yttrium-90.

15 CHANTEL CORBETT: The level.

16 ADAM WEAVER: It wasn't immediate?

17 KATHLEEN DROTAR: From what?

18 ADAM WEAVER: Yttrium-90.

19 NICHOLAS PLAXTON: Yttrium-90, which goes along

20 with Lutetium.

21 ADAM WEAVER: Pure beta in there.

22 NICHOLAS PLAXTON: Yeah, which those are

23 different. Those are the only two cases that would

24 even be legit for this, but they're pushing for

25 everything.

1 CHANTEL CORBETT: Right.

2 NICHOLAS PLAXTON: All diagnostic imaging and
3 then you read this little thing here.

4 CHANTEL CORBETT: But again, it's kind of like
5 the medical event definition now. You have to have
6 this, this, and, you know, 5 rem. That kind of
7 thing.

8 NICHOLAS PLAXTON: Yeah.

9 CHANTEL CORBETT: So as long as it includes
10 enough caveats that you're not ever going to meet
11 all three of them, you know, three or four of them,
12 then you're never going to have to report them.

13 NICHOLAS PLAXTON: But the idea is that --

14 CHANTEL CORBETT: Ideally it won't make it
15 through anyway.

16 NICHOLAS PLAXTON: Their goal is that it
17 doesn't matter what it is, you would have to buy the
18 device and you have to measure every single dose
19 every time.

20 CHANTEL CORBETT: Right. Yeah. And you have
21 to come up with a protocol of how you're going to
22 determine this.

23 NICHOLAS PLAXTON: Which is a waste of time.

24 CHANTEL CORBETT: And then who's going to
25 determine what's okay on that list, you know.

1 RANDY SCHENKMAN: Well, if you look under these
2 conclusions and recommendations, look at number
3 four.

4 ADAM WEAVER: It's going to be dependent on the
5 radio nuclei involved.

6 CHANTEL CORBETT: No, I know. That's what I
7 was -- yeah.

8 RANDY SCHENKMAN: There's no clinical evidence
9 that patients are being harmed, either from excess
10 radiation dose or compromised diagnostic studies
11 because of radiopharmaceutical extravasation. So
12 what's the point of this?

13 CHANTEL CORBETT: Right. Yeah.

14 NICHOLAS PLAXTON: To sell their device.
15 That's all it is. And if they require it, if it
16 becomes a rule, then everyone has to use their, like
17 everyone has to use it every time.

18 CHANTEL CORBETT: Right. They're going to want
19 their device to be the way to evaluate it.

20 REBECCA McFADDEN: They can to use it probably
21 for CT or is this --

22 NICHOLAS PLAXTON: It's just like a waste of
23 time.

24 CHANTEL CORBETT: Oh, no. This is radio
25 pharmaceuticals.

1 KATHLEEN DROTAR: This is radio
2 pharmaceuticals.

3 RANDY SCHENKMAN: This is radio
4 pharmaceuticals.

5 REBECCA McFADDEN: Oh, wow.

6 NICHOLAS PLAXTON: Yeah. I love this one line
7 that stood out to me. I don't know where they're
8 getting their data from. Where it says a review of
9 four studies of 2,613 patients, they said that the
10 nuclear pharmacist, you know, radio pharmaceutical
11 extravasations was reported as 17 percent, which,
12 you know, I'm not arguing that number. But then
13 they go to say, but however, chemotherapy and IV
14 contrast is .009 percent and .24 percent.

15 KATHLEEN DROTAR: What? There's no way.
16 Somebody got their numbers reversed.

17 ALBERT TINEO: No way. No way.

18 CHANTEL CORBETT: There's no way.

19 NICHOLAS PLAXTON: No way.

20 ALBERT TINEO: Absolutely no way.

21 NICHOLAS PLAXTON: Because like the IV
22 injection is the same no matter if you're using
23 radio pharmaceutical.

24 KATHLEEN DROTAR: Right.

25 CHANTEL CORBETT: Right. Saline. Like

1 whatever.

2 RANDY SCHENKMAN: Where did you read that from?

3 NICHOLAS PLAXTON: Saline. Whatever it is. In
4 their discussion on frequency of extravasations.

5 CHANTEL CORBETT: An earlier section.

6 NICHOLAS PLAXTON: That just -- it doesn't
7 matter what you're injecting, it's always going to
8 be the same. Like, you're going have the same
9 amount of, like, leakage. You can't get a perfect
10 IV stick without -- there's always going to be some
11 leakage. The fact that those numbers, and I love
12 the .09 percent of chemo. I mean, I want to know
13 how they're doing that.

14 KATHLEEN DROTAR: How can you compare people on
15 chemo with viable veins.

16 JOSEPH DANEK: That's pretty accurate.

17 CHANTEL CORBETT: Right. They're the worst
18 veins of the group.

19 KATHLEEN DROTAR: Yeah.

20 NICHOLAS PLAXTON: Yeah.

21 RANDY SCHENKMAN: Well, they had somebody who
22 didn't know how to put an IV in. That's all.

23 CHANTEL CORBETT: Yeah. No, what we're saying
24 is the likelihood of that being so much smaller than
25 nuclear --

1 NICHOLAS PLAXTON: I'm pretty sure the only
2 reason is like, probably the chemotherapy and IV
3 contrast, there's no way to measure how much has
4 been extravasated. You know what I mean? There's
5 been no --

6 CHANTEL CORBETT: Right. That's kind of why I
7 was surprised they haven't determined a
8 quantification rule on this. Like it just says, it
9 is. Like, you have to figure it out.

10 NICHOLAS PLAXTON: Yeah. You can obviously
11 measure it a lot of easier on a -- with a radiation
12 detector than you can for chemotherapy.

13 CHANTEL CORBETT: Right.

14 NICHOLAS PLAXTON: There's just no way.

15 CHANTEL CORBETT: I mean, most likely to be
16 imaging at that point because --

17 JAMES FUTCH: Yeah, so I think that's
18 actually --

19 ADAM WEAVER: They're going to have some
20 residual anyway. Natural leakage.

21 NICHOLAS PLAXTON: Right.

22 JAMES FUTCH: -- this report comes from the NRC
23 Advisory Council on medical use of isotopes. So I
24 think that the point of that paragraph is to point
25 out that fact, that this is not consistent with the

1 reported extravasations from these other types of
2 use of IV and because of that, this data should be
3 questioned.

4 RANDY SCHENKMAN: Yeah.

5 NICHOLAS PLAXTON: I agree completely.

6 JAMES FUTCH: That's the point I think they're
7 making. These are similar types of injections to
8 that being performed for radio pharmaceuticals;
9 therefore, the extravasation rate should be similar.

10 NICHOLAS PLAXTON: It makes no difference.

11 RANDY SCHENKMAN: But then go down lower and it
12 says, for non-radio pharmaceuticals, the criteria
13 for extravasation needs to be pain, swelling or
14 redness, okay? But --

15 JAMES FUTCH: Right.

16 RANDY SCHENKMAN: -- it says, one reason these
17 studies show higher extravasation rates for radio
18 pharmaceuticals is that the criteria to be counted
19 as extravasation in these studies, was visualized
20 increased uptake tracer at the injection site.

21 CHANTEL CORBETT: Because you can see it.
22 Right.

23 RANDY SCHENKMAN: It does not take much
24 activity to be visualized on a gamma camera or PET
25 scanner image. So they're not even comparable.

1 NICHOLAS PLAXTON: They're not at all.

2 CHANTEL CORBETT: Yeah. No.

3 KATHLEEN DROTAR: No. It's crazy.

4 NICHOLAS PLAXTON: The only time we actually --
5 the only time we've even gone into this realm is,
6 like, when we do our DAT scans for brain imaging for
7 Parkinson's Disease. And so what we do is, we're
8 imaging just the head. But it's so sensitive, if
9 you don't get all the tracer in, you have a bad
10 extravasation, then you're not going to get all the
11 radio tracer up there and you can get a false
12 positive -- or yeah, false positive. And so, we --
13 on all those patients, we image the injection site,
14 just to make sure there's not this big blowout of
15 radio tracer in the arm. We're not quantifying it,
16 but we can just --

17 CHANTEL CORBETT: But you know, inpatients have
18 a cannula that stays in them. So you're going to
19 have an IV that most likely has a little bit of the
20 tracer in it anyways that's going to be visualized.
21 So it's like, you're not going to pull the IV after
22 every inpatient and put a new one in so that you can
23 prove that it's not, it's not realistic.

24 NICHOLAS PLAXTON: Yeah.

25 ALBERT TINEO: It's just insane.

1 JAMES FUTCH: So the -- go back to the public
2 meeting. These questions go on and on. These
3 documents are on the NRC site. If you go and -- you
4 can go to the links that we gave, but you can just
5 go to Google NRC extravasation, May 24, and you'll
6 find the landing page where these slides are. So
7 you can go pull them down; share them with the
8 facility.

9 Again, our -- I think our interest from
10 Radiation Control is if this NRC rule making
11 proceeds over the next couple of years and is
12 adopted, then we'll, as an agreement state, have to
13 do something to be compatible with that over the
14 rule making. It will probably take us three more
15 years after that. And, you know, the time is now, I
16 guess, to make your voices heard in the community
17 about these kinds of issues that you're talking
18 about to the, to the NRC and answer these questions.

19 This is what, you've got a 90-day comment
20 period to get answers from as many folks in the
21 community about these, these many points that
22 they're, they're asking. I think it's 16 of them.
23 Oh, 14. And then this is how they want comments to
24 go in. The regular place. Commenters checklist,
25 regulations.gov or you can just e-mail them.

1 Any questions?

2 NICHOLAS PLAXTON: They're probably getting a
3 lot of hate mail.

4 CHANTEL CORBETT: As soon as they put this idea
5 out, they started getting hate mail.

6 NICHOLAS PLAXTON: Yeah, I'm sure.

7 JAMES FUTCH: Yeah. Charlie, anything to add?

8 CHARLES HAMILTON: No. I was finished at 12,
9 like I was told to.

10 RANDY SCHENKMAN: You were what?

11 CHARLES HAMILTON: I was finished at 12 like
12 you told me.

13 NICHOLAS PLAXTON: He stopped at 12.

14 (Laughter).

15 RANDY SCHENKMAN: Okay. Well, I guess if we
16 are done with this -- are we done with this? Okay.
17 Lunchtime. Yeah, if anybody has comments after,
18 after lunch, we can bring it back up then.

19 JAMES FUTCH: Brenda is saying we need to be
20 back at 1:30.

21 RANDY SCHENKMAN: Okay. We have to be back at
22 1:30.

23 (Proceedings recessed at 12:11 p.m.)

24 (Proceedings resumed at 1:30 p.m.)

25 RANDY SCHENKMAN: All right. We're going to

1 get started. Before we move on, does anybody have
2 any questions about this NRC, this whole thing we
3 just went through? The extravasation medical
4 events?

5 NICHOLAS PLAXTON: I strongly disagree.

6 ADAM WEAVER: Hopefully they're not successful.

7 NICHOLAS PLAXTON: Yes.

8 RANDY SCHENKMAN: Well, I guess we all should
9 just send our comments in. I mean, that probably
10 would be a good idea.

11 ADAM WEAVER: As a group.

12 NICHOLAS PLAXTON: I'm positive that the
13 Society of Nuclear Medicine has probably sent
14 multiple.

15 CLARK ELDREDGE: There is a position paper out
16 there from them.

17 NICHOLAS PLAXTON: Yeah.

18 CLARK ELDREDGE: The link will be posted with
19 our stuff. We just didn't think it was, third-party
20 position papers weren't necessarily what we should
21 be providing in our packets.

22 NICHOLAS PLAXTON: No. I'm sure they already
23 made a strong statement against this because this is
24 a -- yeah, I mean, it's not for the benefit of the
25 patient.

1 CHANTEL CORBETT: Right, yeah.

2 RANDY SCHENKMAN: Well, I guess all of us
3 should try to write to them and let them know.

4 Okay. Now we are going to get the report on
5 the Conference of Radiation Control Program
6 Directors, et cetera, et cetera, et cetera.

7 CLARK ELDREDGE: Actually, we're going to start
8 with the radiation machine program update.

9 RANDY SCHENKMAN: Okay.

10 CLARK ELDREDGE: And then I'll go into the Age
11 58 task force.

12 RANDY SCHENKMAN: Okay.

13 CLARK ELDREDGE: Which you all will get, too.

14 JAMES FUTCH: Do you want to put the agenda up?

15 CLARK ELDREDGE: Yeah. So, notes. Where's my
16 other notes?

17 Okay. So, section notes, radiation machine,
18 one, start kudos for one of our folks, Lisa Gabfest
19 (ph) who --

20 RANDY SCHENKMAN: Do you want to put anything
21 up on the screen?

22 CLARK ELDREDGE: No. I will when I give the
23 slide presentation. For now, nothing to show until
24 we get the slide presentation.

25 RANDY SCHENKMAN: Okay.

1 CLARK ELDREDGE: Miss Gabfest received a
2 meritorious service award for her work on the
3 committee that reprised the state suggested
4 regulations on the use of particle accelerators Part
5 X from CRCPD. So that's been updated and released.

6 Last November, we issued a denial for a
7 registrant who wanted -- a law firm who was
8 requesting a registration to use an XRF to measure
9 the presence of lead in peoples' shins.

10 RANDY SCHENKMAN: What --

11 NICHOLAS PLAXTON: What for?

12 CLARK ELDREDGE: Lawsuit. They actually had
13 done this in Michigan. And Michigan, during, you
14 know, following up on Flint, Michigan issue with the
15 lead in the water and so they wanted to use it in a
16 lawsuit here in Florida.

17 So -- wait. I'm not -- so basically, it was
18 denied. They then filed a, all I will say is we
19 denied them for the request since it didn't sit in
20 our statutes. There's no authorization for
21 non-medical use type thing for what they were
22 saying. They filed a challenge to the denial.
23 They've since voluntarily withdrawn the challenge.

24 Program staffing, as you all heard last time,
25 it took a long time to replace Larry and then

1 Larry's replacement didn't last but a month. Then
2 we had Dana, who came on board, but then Mary left
3 and now we have three. So actually, the people who
4 process the registrations are fully staffed right
5 now. However, technical folks, Ginny left us in
6 November. We advertised three times; seven
7 applicants. Five withdrew. One was interviewed but
8 currently is overseas in Bulgaria taking care of
9 family.

10 Another applicant was -- he was a Ph.D. in
11 chemistry. The other applicant, one of the other
12 applicants who agreed to be interviewed was a Ph.D.
13 in environmental science, also in Europe. They
14 didn't have U.S. working papers so we couldn't
15 proceed farther with them.

16 David, who's our -- he's an electrical engineer
17 who works for us, is the one who does our research
18 on new devices and whatnot, he's leaving in June.
19 June 1 is his real retirement date. Since -- he
20 actually had closed down his engineering business,
21 his manufacturing business, and worked for the
22 State, so we were kind of a retirement job in the
23 sense he was no longer responsible for marketing,
24 for hiring and firing and all that type of stuff.
25 And it was a -- and now his wife said it's time to

1 fully retire.

2 So we will be down two staff, technical staff.

3 It will be just Lisa and I.

4 We currently have -- we've crossed the 20,000
5 registration threshold this past bit with the 63,000
6 machines registered in the State.

7 So far for this renewal cycle, out of those,
8 you know, over 20,000, about 18,000 have actually
9 paid their registration fees so far this year to
10 about 2.6 million. While -- so that leaves about
11 2,000 that haven't paid yet for about 230k. And of
12 course, there will be some percentage of those that
13 disappeared and never bothered to tell us.

14 I think I told you all last time, we were able
15 to renegotiate the MQSA, medical quality assurance.
16 So that's all going well so far. We, we are
17 churning inspectors like in everything else. We had
18 one gentleman retire. We were able to hire another
19 person who has now completed their training and will
20 be starting, able to do MQSA inspections in another
21 month or two after they get their final
22 authorization from FDA.

23 One consideration facility is, we've had some
24 questions about physicists in training. So the
25 requirements to do physics for MQSA is a different

1 level than the State of Florida licensure for a
2 medical physicist. So an MQSA, a physicist in
3 training can actually meet the full Federal
4 requirements to do all, all the calibration,
5 examination, stuff for a mammography machine, but
6 they wouldn't be legally allowed to sign off on it
7 under Florida Statutes because you have to be a full
8 physicist rather than physicist in training. A full
9 licensed medical physicist before you can sign
10 something off. So they can do the work, but they
11 would still have to have the supervising physicist
12 would be the one to sign off on the final reports to
13 be able to meet Florida Statutes.

14 We have -- we do issue the Florida MQSA medical
15 physicist letter. This is a letter that states that
16 we have reviewed their qualifications and determined
17 that they meet the MQSA requirements for an MQSA
18 facility. This is a service we offer so that
19 facilities don't have to have a whole stack of the
20 physicist paperwork on -- in their files. They can
21 just take the letter and have that demonstrate this
22 person who's doing their physics work is qualified.
23 Otherwise, the facility, itself, has to track their
24 initial qualification, as an MQSA, a physicist and
25 all their CEs, and how many machines they've

1 actually evaluated, because there's two levels. You
2 have to -- I do not remember. I cannot tell you the
3 numbers off the top of my head, but you have to
4 survey X number of machines every two years and
5 you're good for two years from the first of those
6 numbered machines. And you have to have, in
7 certain, 16 hours of CE every three years and it's
8 from that first hour that CE, to three years. And
9 so, they don't have to do the math on that.

10 We've had one medical event since last meeting.
11 One wrong site that was palliative treatment of
12 three different sites. Base of skull, sacrum and
13 lung -- sacrum and lung, sorry. The patient
14 requested the sacrum be treated first, but when they
15 set him up, they set up the new treatment delivery
16 was for the skull base fields rather than the
17 sacrum. Sacrum base fields rather than the --
18 rather than for the -- they used the skull fields
19 for the sacrum treatment. Say that right.

20 Now, let's get this thing going. Okay. So
21 this is a presentation that was given at the CRCPD
22 annual meeting last week. Program control
23 directors, their National Radiation Protection
24 Conference, their annual protection conference.

25 So this, the NCRP has been, maybe roughly every

1 ten years or so now, issuing a report on the dose to
2 the public in the United States. Over, you know,
3 when the report in 1980 was released, it was
4 primarily, a large chunk was background and, you
5 know, 55 percent of your dose was indoor radon. And
6 in '09, it was somewhat surprised to see that
7 medical became a very large chunk of the exposures,
8 specifically CTs.

9 And so, in the last update, for the medical
10 exposures, in 2016, what they really did was they
11 looked -- since nothing is really going to change
12 with the environment particularly, cosmic is not
13 going to change, ground base isn't going to change
14 per se. The consumer products is such a small
15 sliver; things like that. They went and updated the
16 medical exposures.

17 And so, once again, NCRP is gearing up to
18 update the medical exposures. So in concert with
19 the CRCPD, the FDA, the NRC, DOE, alphabet soup,
20 radiation agencies, all were preparing -- were doing
21 preliminary work for the NCRPs next update to
22 medical radiation exposure patients in the U.S. to
23 update the ionizing radiation exposure for the
24 population of the U.S.

25 So one of the recommendations from that report

1 was that they improve the data collection for future
2 updates. So last November, there was an all
3 interested parties meeting in DC where we -- I'm
4 actually on the committee, by the way. I was there.
5 Where we went over and talked about the previous
6 report and started working on concepts for
7 methodology collection; things like that.

8 Okay. So there's the motley crew involved.
9 And if I could name people, I'm having a brain fart
10 here. In the back, the tall guy in the back is Don
11 Miller, FDA. Up in front is Adelle Selpn (ph). I
12 cannot say peoples' names for the life of me. In
13 the middle, Melissa Martin, health physicist. Lisa
14 Brudigan (ph), Texas. Jeffrey Elie (ph) is in front
15 of me on the right. A bunch of other people I don't
16 know their names. I can't remember them. About a
17 third these folks were actually authors on the
18 previous NCRP report and are members of the NCRP.

19 So outcomes from the last meeting, that first
20 meeting was actually setting up some milestones,
21 looking at how we can collect the data, store it,
22 looking for focus groups. To work on -- groups to
23 focus on specific tasks.

24 So going forward, of course, the sites
25 collecting -- they actually have surveyed states to

1 see what they can support in this project. And
2 that's why I talk to you about it because we'll be
3 acting in this and going to facilities and
4 collecting data.

5 Identify the professional societies that can
6 assist and provide us data. Nuclear medicine, ACR;
7 you name it. Appropriate -- the appropriate
8 alphabet soup of usual suspects. And, of course,
9 once we determine what the proper data collection
10 will be format, setting up training for that.

11 We met again in March. Came out of that. The
12 survey that was just released for the State's new
13 system, yeah, we put together an introductory letter
14 that we'll be sending out to the project partners or
15 groups that we collect data from.

16 So, so far, the states that have replied to the
17 survey, in green. Grays haven't offered their
18 comments in how they'll be able to support it or
19 not.

20 And dates, this was -- certain questions about
21 what actual dose evaluations or exposure, I should
22 say. Most states, there was a little language
23 problem I saw in the survey. Was that we used dose
24 when we really meant exposure measurements.
25 Although some people were actually looking at dose

1 evaluations.

2 And where almost everybody evaluated dental
3 CTs, hardly anybody evaluated cone-beam CT and
4 nobody is actually doing -- taking when they're
5 doing their medical, materials inspections aren't
6 looking at dose data for nuclear medicine or
7 exposure data, all right, and, of course, when
8 they're actually doing active measurements during
9 the inspections.

10 Again, radiography, those are folks who have
11 seen what we do on our inspections. We get in there
12 and we actually take our meters and put them in the
13 beam and look at the quality of the -- what's coming
14 out of the beam, kVp, mR rates; things like that.
15 Whether or not the machines are actually
16 consistently operating. Doing, you know, taking
17 multiple shots and is the dose consistent. Is there
18 any drift going on between shots.

19 And again, cone-beam NCT and CT, themselves,
20 not many states have the capacity to look at that at
21 this time.

22 All right. And then what other things they're
23 looking for. Suggest that we could, you know, can
24 you look to the physicists reports. Whatever
25 facility records, such as what's the actual number

1 of measurement or procedures done in a given time.
2 Any other data that could be used.

3 And so, that's where you'll probably be hearing
4 from us. More as we, since the State of Florida has
5 the authority to ask you a lot of questions that we
6 don't, but we'll still have the authority to go in
7 and say how many times you're operating your
8 hardware. We may be maybe being more thorough in
9 our investigations, but it will probably be some
10 sort of random sample, I assume, once it gets to
11 that point. The lucky winners who get to have us go
12 more thoroughly into their practices to collect the
13 data for the national exposure.

14 CHANTEL CORBETT: So are there going to be a
15 set number of questions? Like, are there going to
16 be set questions? In other words, like so if you're
17 going to ask them how many times have you done an
18 exam on this unit, like, because every unit has got
19 a different way to look those things up or the
20 capacity to hold that record.

21 CLARK ELDREDGE: Right.

22 CHANTEL CORBETT: So I wasn't sure, are you
23 going to let licensees know that those questions are
24 coming so they can make sure they know how to get
25 that data, if they can get that data? Or is that

1 just going to be a live, like on the fly, surprise?

2 CLARK ELDREDGE: No. If you think of the
3 previous NEC surveys, I don't know if you're
4 familiar with the NEC surveys. That's where we
5 look, go through and randomly select, whether it's
6 chiropractic or dental or some particular slice of
7 medical radiation exposure, and work with the
8 national organizations; develop a survey. We
9 contact the people beforehand; let them know we're
10 coming in. This is what we're looking at for this
11 national survey to see what the extent of --

12 CHANTEL CORBETT: Okay.

13 CLARK ELDREDGE: -- the procedures are, what
14 doses are being given; things like that. So I would
15 expect for those folks who get selected --

16 CHANTEL CORBETT: Be something similar to that?

17 CLARK ELDREDGE: Be something familiar to that.
18 Past years, okay. We will -- back up. In this, I
19 suspect we'll end up using the ICRP standard, if you
20 want to call it that. Exposure to dose coefficients
21 for various procedures. They've got their library
22 of those coefficients converting from exposure
23 measurements to different procedures to actual dose
24 to the patient.

25 They will also -- previously, they looked at,

1 to get actual counts of procedures and stuff.
2 There's some insurance reporting databases. There
3 are some other national surveys, industry surveys
4 out there that sell their -- sell data that was used
5 in the previous surveys for the NCRP report.

6 We work with AAPM as well as ACR, as I said,
7 getting their cooperation and guidance on these
8 things. So this is still very much in the
9 developmental stage because the NCRP, itself, won't
10 be looking to probably start anything in earnest
11 until probably '26. So we've got about a year and a
12 half on the CRCPH committee to get our stuff going.
13 And then probably a year, year and a half to build
14 up the information to give to NCRP for their
15 analysis and review and publishing.

16 So this is probably 18 months to 24 months away
17 before we go forward with that.

18 NICHOLAS PLAXTON: I've got a quick thing. So
19 I know when we do CTs, or even like the PET CTs,
20 there's the -- we put a number in our reports of,
21 like, how much radiation the patient got. But is
22 this a matter of, you know, I guess how you extract
23 that data, right? Because being in our electronic
24 report doesn't help you, right? If there was some
25 kind of electronic system that it would transfer to,

1 and then you can automatically get the data.

2 REBECCA McFADDEN: You can buy a system that
3 does that.

4 CHANTEL CORBETT: I was going to say some of
5 the hospitals have it.

6 REBECCA McFADDEN: Clario, they do a combined.
7 So basically, they're really --

8 NICHOLAS PLAXTON: Keeps track of people,
9 right?

10 REBECCA McFADDEN: They track all of their
11 radiation activity. They're pretty expensive, but
12 they're pretty awesome tools.

13 CHANTEL CORBETT: Yeah, the bigger hospital
14 facilities have those pretty much.

15 REBECCA McFADDEN: Yeah. Patient trackers.

16 NICHOLAS PLAXTON: Do you use any of that or
17 no?

18 CLARK ELDREDGE: Well, that's something we'll
19 be trying, yes, all of the above.

20 NICHOLAS PLAXTON: Okay.

21 CHANTEL CORBETT: Because those kind of
22 facilities would be the perfect ones to start with,
23 honestly, because they can give you data --

24 CLARK ELDREDGE: Right.

25 REBECCA McFADDEN: They've already given --

1 NICHOLAS PLAXTON: It's already there. It's
2 much easier.

3 REBECCA McFADDEN: Mainly when you're pulling
4 the dose, you're looking at DAP or CT, so that's
5 when you're plugging in those numbers, but --

6 CLARK ELDREDGE: But the flip side, we'll need
7 to do that because, of course, someone represents
8 age and market share, and that part of the thing is,
9 who -- what folks are using what equipment? Which
10 hospitals versus, in this case, if we're talking --
11 this is primarily diagnostic. You know, diagnostic
12 centers. So, you know, the age of the equipment,
13 what -- so that, that will actually reflect some on
14 what the dose is given, et cetera. And so --

15 CHANTEL CORBETT: That greatly varies, too.
16 Some of the hospitals have older equipment than
17 outpatient centers.

18 REBECCA McFADDEN: Yeah. Hospitals are the
19 ones that have the oldest, usually.

20 CHANTEL CORBETT: The problem is the older
21 x-ray equipment, none of those connect to anything,
22 regardless of what software you have.

23 REBECCA McFADDEN: Yeah.

24 CLARK ELDREDGE: So there will be some
25 statistical analysis for trying to, you know, do we

1 look at scattered across the country versus, you
2 know, how many types of different folks are doing at
3 different levels and different work. Subsampling of
4 those things to try to get a statistically valid
5 sample.

6 NICHOLAS PLAXTON: I know this is a little bit
7 off because I know you're dealing with the machinery
8 side of it, but like, it's kind of interesting
9 because with our PET CT reports, like I said, we
10 generate -- that number is generated by the scanner
11 from the CT portion and then put in our report. But
12 we're not -- the actual dose is not being
13 transferred into the report. The report doesn't
14 have the radiation.

15 CHANTEL CORBETT: See, like the software I'm
16 talking about, pulls it straight from the CT into
17 that and you can run a CT report just on the CTs and
18 you can run a report on IR, you can run a report on
19 the cath labs.

20 NICHOLAS PLAXTON: That's what I'm saying.
21 With nuclear medicine, when we inject patients, all
22 that radiation is not being tracked.

23 CHANTEL CORBETT: Correct.

24 NICHOLAS PLAXTON: So even in the PET CT,
25 you're just getting half the dose, really, because

1 the other half is the FDG radioactivity. So it's
2 actually something that we should probably address,
3 though. Like, we're putting in, like, half the
4 results of the -- which is kind of misleading.

5 CLARK ELDREDGE: Which may end up being
6 something like, what's the total activity you used.

7 NICHOLAS PLAXTON: And we know exactly because
8 we measure how much -- we measure the syringe
9 first --

10 CHANTEL CORBETT: The residual.

11 NICHOLAS PLAXTON: -- and then the residual
12 afterwards, so we know the rest went in the patient
13 and we put that in our report. So the --

14 CLARK ELDREDGE: Right.

15 NICHOLAS PLAXTON: -- we know the mCi that are
16 given to the patient. But we can easily convert
17 that. But we should be converting it and writing it
18 in with the CT.

19 CHANTEL CORBETT: Biologicals are different on
20 every one of them. That whole worm hole.

21 NICHOLAS PLAXTON: Yeah. So I mean, we would
22 have the numbers, but like right now, you would have
23 to calculate it out. It should be automated,
24 actually, to put it in there. I mean, I notice,
25 obviously, that's why it's being tracked in nuclear

1 medicine, because we're injecting the radioactivity
2 instead of a device giving it to them.

3 CHANTEL CORBETT: It's not machine produced.

4 NICHOLAS PLAXTON: For the patient, they should
5 actually have it all tracked in one of these
6 systems.

7 RANDY SCHENKMAN: Any other questions? Are you
8 all done?

9 Okay. Now we've got James up.

10 JAMES FUTCH: All right. Let's start with
11 this. So technology section update. Let's start
12 with the completion of a two-plus-year journey,
13 which involved the Council, I think at least twice,
14 on the rule making for my part of the regulations,
15 which is 64E-3; most of the Rad controls in 64E-5.
16 And this was Section .009 of the Florida
17 Administrative Code, was the standards for CE
18 courses.

19 So this was where we made a few changes to
20 literally two pages of the rules so that we would be
21 in compliance with the national standards consensus
22 that AART has set up with the other state licensing
23 agencies and what they call RCEEMS. The societies
24 that approve CEs for the radiologic professions, so
25 ACR, ASRT and all the rest.

1 There's this national consensus standards and
2 if we want to still be part of that, we still want
3 to have our CE be accepted by the other groups for
4 Florida Rad Techs, and if we want national CE to be
5 accepted in Florida for use in renewing Rad Tech
6 licenses. So basically, you don't have to have
7 separate CE for all the organizations, this had to
8 be adopted.

9 So we started this in the spring of 2021, I
10 think, and that's just how long it takes to get
11 through the regulatory process with two pages' worth
12 of not very many changes. So let me show you what
13 we have as a result of that.

14 And what we have is this little piece of paper
15 here. And so this is the -- as big as I can make
16 it. I think actually, maybe I can make it bigger.
17 It might go off the screen. It might do that.
18 Okay.

19 So this is the section of the standards, talks
20 about a lot of things. Anything you see in yellow
21 highlight was changed.

22 So the first thing you notice is that, yes,
23 after probably ten or twelve years, we finally
24 figured out how to spell the word emission correctly
25 (laughter). And by the way, it does not have two

1 M's in it. And nobody caught that, ever, all along
2 the food chain.

3 So this is the section of the rule where it
4 talks about which kinds of topic areas will be
5 accepted for what we call technical credit as
6 opposed to personal development.

7 WILLIAM ATHERTON: So who finally caught it?

8 NICHOLAS PLAXTON: Spellcheck.

9 WILLIAM ATHERTON: Spellcheck.

10 JAMES FUTCH: No. Kelly Nesmith, who was a CE
11 coordinator for years and years ago -- probably
12 caused it to start with -- was reviewing this, I
13 don't know how many times, and finally said, I don't
14 think that's right. Turns out she was correct.
15 Twice.

16 CHANTEL CORBETT: Your brain corrects a lot of
17 things.

18 JAMES FUTCH: Yeah, there you go. So a little
19 further on, we had a section where all the yellow
20 stuff is added, unless you see it struck through.
21 So we added a section on -- and you all have seen
22 this at least twice in previous meetings and given
23 your support of it, so I kind of want to say we
24 finally did it.

25 And so this was to give credit for the hands-on

1 component for CE credit, which in some situations,
2 we weren't doing before with some types of CE.

3 This was never in here. Oh, look at that.
4 There's another misspelling. Oh, my god.

5 REBECCA McFADDEN: Attempts.

6 JAMES FUTCH: Ay, yi, yi. Okay. I don't care.
7 Whoever comes after me and fills my position after I
8 retire can fix the word attempts. Let's just say
9 maybe this is not -- I pulled this off of the rules,
10 say. I don't think this was in -- I will say I
11 don't think this was in our draft which was
12 submitted. I think the Florida Department of State
13 made that mistake.

14 Anyway, the important part here is, we never
15 had a limitation, we never thought it was necessary.
16 AART and the other RCEEMS has a limitation on the
17 number of times you can attempt a CE course and
18 attempt to pass it with 75 percent, after which you
19 aren't going to get credit for. We kind of relied
20 upon, I think, the marketplace and the intelligence
21 for people to figure out you can't take a CE course,
22 like, you know, thirty times until you finally pass
23 it. But now there's a reason why you really can't.

24 This was in here before. This sentence was
25 removed. By the removal of this sentence, you now

1 can actually count the time it takes to take the
2 post test questions as part of the learning
3 activity.

4 Now, one caveat to this, which the lawyers
5 pointed out, which I really loved, they said, um, do
6 you mean the actual time for the individual
7 technologists to take their particular post test or
8 do you mean there's just a certain amount of time
9 we're going to get you in approving this activity
10 for, say, three credit hours? And we said, this is
11 why they're lawyers. No, we actually mean just a
12 certain amount of proof time. If Becky takes it and
13 it takes her ten hours, we don't give her ten hours
14 of credit post test.

15 REBECCA McFADDEN: I'm a slow reader.

16 JAMES FUTCH: This one right here is huge. In
17 the infinite -- I don't know how you say this. In
18 the infinite specialization segmentation of what is
19 the smallest amount of CE that anyone will actually
20 take, and it's a fifteen-minute segment. We used to
21 approve whole books for, like, however many hours it
22 took to review the whole book. And we don't do that
23 anymore. So now books are going to now be approved
24 on a chapter basis. You can take chapter three out
25 of the book and not chapters one and two, or however

1 many else there are, and that's all you have to do.

2 Each one of those will have its own CE course
3 number assigned to it. So if it has fifteen
4 chapters, it's going to have fifteen CE numbers.
5 You can see where this kind of turns into a little
6 bit of slightly more work on our part.

7 CHANTEL CORBETT: On your end.

8 JAMES FUTCH: Yeah. But this is the way we
9 must do it now in order to conform to the national
10 standards consensus.

11 CHANTEL CORBETT: So to make sure I understand
12 that right. Like, so you buy a forty CE book, you
13 know, with forty chapters.

14 JAMES FUTCH: Right.

15 CHANTEL CORBETT: And you only need five CEs
16 this cycle, so you can just take chapters one
17 through five; save the rest for later?

18 JAMES FUTCH: That's right. You still have to
19 take the whole CE activity, but it will be forty
20 separate CE course numbers for that book. Yes.

21 CHANTEL CORBETT: Oh.

22 ADAM WEAVER: Each chapter.

23 CHANTEL CORBETT: You still have to complete
24 the whole thing, so it doesn't really matter.

25 JAMES FUTCH: Each one of those is an activity.

1 So if you only want to do chapter five, you only
2 have to do chapter five.

3 WILLIAM ATHERTON: But each chapter has to be
4 approved.

5 CHANTEL CORBETT: I thought you just said you
6 had to complete the whole thing.

7 JAMES FUTCH: For us. When we say you have to
8 complete the whole thing, we mean the whole CE
9 activity for which the course number has been
10 assigned.

11 CHANTEL CORBETT: Okay. So each chapter --
12 that's what I was saying. If you only wanted five
13 CE's out of the forty of that cycle, that will
14 be five and be done.

15 JAMES FUTCH: This is correct.

16 ALBERT TINEO: You can use the rest of it for
17 the following.

18 CHANTEL CORBETT: Right. Okay. Exactly.
19 That's what I'm saying.

20 ALBERT TINEO: That's awesome.

21 CHANTEL CORBETT: Yeah, it actually works out
22 well.

23 KATHLEEN DROTAR: Yeah, there's some CE
24 providers that, here's the book and --

25 CHANTEL CORBETT: Actually, like forty a year,

1 but that's because it's a book.

2 JAMES FUTCH: Yeah, this one, we implemented
3 it, it's a three-year cycle. Other groups are
4 implementing it, so there's this -- staff tells me
5 there's a little bit of -- it was approved up here
6 and they don't want to submit it down here because
7 we'll make them do it chapter by chapter. This
8 group up here is still doing the whole book. So
9 they're going to get approved up there. Then we
10 still have to take it because we accept the RCEEMS.

11 In a few years, once everybody has got all of
12 their CE approval cycles through, it will all be the
13 same.

14 And I think this is the last part of, almost
15 the last part. So on the course certificate, you
16 actually have to print Category A. If it's approved
17 by AART, of course, it's Category A credit. We
18 approve -- we don't do A plus, which is for
19 radiologist assistants. And if it's a hands-on
20 activity, you actually have to list the course
21 learning objectives on the certificate. Apparently,
22 this is useful when it goes to other states, the
23 other states or RCEEMS understands what they're
24 given credit for.

25 And then the last one, go ahead, I challenge --

1 nobody -- do we have any lawyers in the group? Do
2 we? Come on. You can admit it.

3 (Laughter)

4 JAMES FUTCH: No? All right. So everyone read
5 this and tell me what you think that means.

6 REBECCA McFADDEN: Oh, my gosh.

7 CHARLES HAMILTON: You can get an amendment
8 five years from now.

9 JAMES FUTCH: You don't count. You're a
10 bureaucrat.

11 WILLIAM ATHERTON: You have up to five years to
12 do so.

13 ALBERT TINEO: To make another change or
14 amendment.

15 JAMES FUTCH: Yeah. So this is interpreted as
16 a sunset clause by the bureaucracy. And this is
17 something that has to be added, which I am told,
18 means that five years from now, someone has to do
19 some kind of review on this and --

20 CHANTEL CORBETT: Or it goes away.

21 ADAM WEAVER: See if it's still valid.

22 JAMES FUTCH: See, you're too logical. You've
23 been too close to the physics and to the radiation
24 and to the chemistry and all the rest of this.

25 CHANTEL CORBETT: I know. I should know better

1 by now.

2 JAMES FUTCH: You're like, what kind of review?
3 What criteria does it have? And the answer is,
4 there is no answer at this point. So we'll see in
5 five years.

6 ADAM WEAVER: Don't they put that in a lot
7 of them?

8 JAMES FUTCH: Usually it's in a statute by the
9 legislature. It's a lot clearer then because it's
10 the law and it says, unless we as the legislature
11 act to continue this law, it will automatically be
12 repealed as a law. That's pretty straightforward.
13 This is -- this is a different kind of a thing.
14 Anyway, it's in there.

15 All right. Let's see. Let's close that tab
16 and let's go back here and the next page is -- all
17 right.

18 So we, the Florida Department of Health, Bureau
19 of Radiation Control, have been recognized for three
20 years as a CE approver by ARRT and the National
21 Consensus Group, which is ASRT and student medicine,
22 ultrasound, all those other groups, because we, we
23 have met the recognition criteria. This is
24 basically accreditation for us. Fill out a whole
25 bunch of paperwork, answer a whole bunch of

1 questions, submit a whole bunch of policies, explain
2 how you do this, so on and so forth. It goes to
3 ARRT's board of radiologists and other folks and
4 they approved it in July three years ago.

5 So we were up for re-recognition this year, so
6 we had to be reaccredited is the way I explain it.
7 And we just submitted that application. It will be
8 reviewed by ARRT's board again in July and
9 hopefully, we'll continue to be accepted and
10 recognized as a CE approver so that all the stuff
11 that we do to approve CE's in Florida, you want to
12 use it with ARRT, AART.

13 WILLIAM ATHERTON: Just curious, who is the one
14 that approves the CE? Do you have people, an
15 algorithm, people that sit there --

16 JAMES FUTCH: Yeah. No, there's a consensus.

17 So the rules that you saw, the ones that we
18 just changed, that's a small part of it. That's
19 kind of like the superstructure of a lot of it.
20 There's a booklet full of little, here's how you
21 handle this and here's how you handle that. And
22 like, for example, one of the contention points for
23 the upcoming meeting, they meet once a year, usually
24 in Minnesota at the ARRT offices, is how much credit
25 do you give a picture? How much credit do you give

1 a chart or a graph? Okay?

2 So if you wanted to figure out how much time it
3 takes on something that's a video, okay. You've got
4 minutes. You know how long it takes to watch the
5 video.

6 If you want to figure out how long it takes to
7 read text, I forget what it is. 1.85 minutes per X
8 amount of, you know, words per paragraph or
9 something like this.

10 But so we were running into, okay. Here's,
11 here's a course. It's all text. There's no
12 pictures. Here's a course that's half text, it's
13 half graph, charts, pictures. Maybe there's labels
14 on the picture, maybe there's just arrows pointing
15 to things they want you to see with captions
16 somewhere else.

17 Really, it takes the same amount of time to
18 absorb it. If you read it all, it actually will
19 probably take more if you tried to read it all in
20 text. A picture's worth a thousand words, right?
21 Not in ARRT's world. Apparently, it's an
22 indeterminate amount of time. So we're trying to
23 nail down some sort of mechanism by which everyone
24 can agree on how you value these five charts that,
25 you know, a technique chart, if anybody is still

1 doing that kind of stuff. Or here's several
2 pictures of radiographs of, showing some aspect of
3 poor positioning or, you know, poor exposure,
4 something like this.

5 So it would be interesting to see what comes
6 out of that. But that's still to come. But anyway,
7 the application has been submitted again, and this
8 time, if it's approved, we'll be good for five
9 years, which is a good timeframe.

10 ADAM WEAVER: Aggressively getting longer each
11 time you reapply.

12 JAMES FUTCH: I'm thinking, yeah. Five years
13 ought to do it. That would be perfect. Clark will
14 still be here.

15 All right. So that's, that's that part of it.

16 Personnel changes, I think we mentioned this
17 already. So my staff is two -- two staff are
18 dedicated to the Rad side of the world and the other
19 four are wholly in IT programmers, help desk people
20 or kind of a mixture of those folks and
21 administrative assistant, purchasing, things like
22 that.

23 And our CE coordinator position, Melissa Burns,
24 those of you who know her, she's been in the job
25 for, I guess a year or so. She has left to go up to

1 our division office to take another job, essentially
2 doing project management. So we are -- we have one
3 vacancy in that position. Kelly is again, for the
4 fourth time in four years, covering, covering for --
5 as the CE coordinator. So if you know anybody who
6 wants to come to work for the State for not very
7 much pay, but lots of good, warm feelings -- he did
8 it twice.

9 CHANTEL CORBETT: Bakes good.

10 ADAM WEAVER: Double dipping.

11 JAMES FUTCH: Bakes cookies, we hope.

12 Hopefully you have another breadwinner in the family
13 who makes a lot more than you do.

14 CHANTEL CORBETT: You want to retire.

15 JAMES FUTCH: You like the Tallahassee life.
16 It's very much not like Miami, I'm told. People
17 that come to Miami and say, I hate it up here.
18 There's too many trees and too many wide open
19 spaces.

20 CHANTEL CORBETT: Woods are scared.

21 JAMES FUTCH: Woods are scared. Woods are full
22 of ticks right now.

23 ADAM WEAVER: There's a lot of bears up there.

24 JAMES FUTCH: So anyway, some personnel
25 changes. That's it for us on that.

1 And then the last thing I wanted to show you is
2 some, some various stats and insights into
3 discipline complaint cases and things of that
4 nature. Let me jump over to here and start out
5 with -- this is -- let's start out with this one.

6 So this is current cases. Actually, let me
7 show you this first so maybe some of this makes a
8 little more sense.

9 So these are the discipline standards for this
10 profession. Every profession has them. Most of
11 them are fairly common across all professions. And
12 these are, these are ours, A through, I think it's M
13 or N now. Let's see. All the way down to, yep, N
14 right there. Okay.

15 So being terminated from an impaired
16 practitioner program. So you're in -- you got some
17 sort of impairment program, drug related, and
18 there's a state program that handles that. And
19 you've been terminated from it because you're not
20 complying. Being found guilty of any offense
21 prohibited under this long laundry list, a bunch of
22 criminal offenses, failing to report within thirty
23 days after you had a certificate acted against,
24 mostly by AART, but also another state.

25 Testing positive on any drug -- for

1 preemployment, employer required drug screen when
2 you don't have a lawful prescription and legitimate
3 medical reason for taking the drug. This one makes
4 it easy to go after folks. You don't have to prove
5 impairment on this one. The lawyers usually love
6 this particular. You just show me the test result
7 and we can go after, probably require an exam to
8 make sure you're not impaired.

9 Employing, this is for people who use those
10 uncertified operators. We used to call them NCO,
11 non-certified operator. MQA calls them ULA. They
12 have a big marketing program about that. This is
13 not the person who is the unlicensed activity. This
14 is the person who's employing the person who is
15 taking x-rays without being licensed, et cetera, et
16 cetera. It's kind of weird because it's in the Rad
17 Tech statute, but it gives legal authority to go
18 after the employer.

19 This is a catch all. Violating any part of
20 this rule, any other rule of the department, et
21 cetera, et cetera, et cetera. Failing to report
22 somebody else you know is in violation. Being
23 unable to practice, impairment or use of whatever
24 drugs, mental, physical condition. Unprofessional
25 conduct, paragraph F, which is also huge and

1 includes lots of different kinds of conduct,
2 including tying into ARRT's code of conduct.

3 Making, filing reports, false report or record
4 in your capacity as a certificate holder. So you go
5 change the x-ray in some way, shape or form or you
6 take an x-ray of yourself, or your own hand and then
7 you modify the records so the boss doesn't know
8 you've been x-raying yourself. That one happened
9 last time around.

10 Being convicted or found guilty. Then we're
11 back up to the normal stuff. You, yourself, being
12 convicted of a crime against a person or a crime
13 that involves the practice in some way, shape or
14 form.

15 This is a big one. Having a voluntary or
16 mandatory certificate to practice acted against by
17 another organization, like a national organization
18 like ARRT, et cetera, et cetera.

19 This is another one, good one, procuring or
20 attempting to procure, basically, through various
21 means, a license from the department. So you lied
22 on your application or something of that nature.

23 So that's the bases for all the complaints that
24 anybody ever makes against the technologist. It has
25 to find a nexus in one of these statutes or you

1 can't act against them.

2 So we look at current case details. Here we
3 go. And this is a spreadsheet. Very busy. Let me
4 show you how many rows there are. So approximately
5 64 open cases at the moment. Each one of these is a
6 case against a person. I've hidden the peoples'
7 names and most of their CRT numbers and just left
8 the case dates so you can see what year they were
9 opened.

10 And these are the kind of things that the
11 lawyers keep track of. Kelly keeps track of. They
12 have all sorts of coding systems. Basically, this
13 technologist is a CRT. It's currently under review.
14 It was last acted on, you know, April 21st. It was
15 opened some time in fiscal year 2023. And it really
16 doesn't tell you anything at all about what the
17 substance of the problem is, but there's 64 of them
18 at the moment.

19 The case counts, well, let me show you this
20 one. Here's a historical. Another application.
21 This one, instead of having 64 rows, this one has
22 1,000 something or other. And this one goes back to
23 2006.

24 So these are all the cases that is we've had
25 and these are sorted by incident type. So you have

1 various and sundry things that can happen. You have
2 various kinds of conviction from other causes, how
3 did it come to us? This is something, another
4 agency does background checks on folks and we find
5 people with convictions that weren't reported that
6 way. Certificate not posted during inspection.
7 That seems rather mundane compared to the rest of
8 these.

9 A whole section for discipline by ARRT and
10 another state. Again, this is from 2006, 1,000
11 records, roughly.

12 Let me show you how many of these there are.
13 These are various uncertified operators. Various
14 ways they come to us. From inspections. Somebody
15 maybe gave an anonymous complaint. Maybe it's a
16 disgruntled former spouse, who knows, former
17 employee.

18 And then when you get down to the bottom of
19 this, a lot of these uncertified operators not in
20 compliance with the final order. So you did
21 something, like you worked without a certificate.
22 There was a discipline case. You were supposed to
23 do a fine. You were supposed to do CE. Of course,
24 you're supposed to stop doing what you're doing.
25 And you didn't comply with some aspect of that.

1 Usually, you didn't pay the fine or you didn't do
2 the medical errors course -- not medical errors.
3 The ethics course so you don't mess up again.

4 So you come back through the lawyers again and
5 we're going to go after you one more time for not
6 complying. This time we're probably going to
7 suspend your license until you wake up and do what
8 you're supposed to do.

9 Let's see. Sometimes in old data, you have a
10 situation where you create a case and there's no
11 nexus for it, but you have -- you don't have any way
12 to close it until it's finally acted upon through
13 the whole system. These didn't have any incident
14 recorded on them. But the biggest section down here
15 is UPC, unprofessional conduct. Unprofessional
16 conduct can include, as you'll see in a second,
17 various kind of subcategories, if I ever get there.
18 There it goes.

19 So these are unprofessional conduct involving
20 misadministration of radiation in some way, shape or
21 form. These are ones involving impairment. I think
22 there's some impairment that's specifically related
23 to prescriptions.

24 This is unprofessional conduct involving
25 illegal activity. This is what we do when you have

1 a license that's still active. Maybe it's expired.
2 It's not null and void. But you decide to continue
3 working on a, on an expired license. So we come in
4 and be categorized in this way, shape and form.

5 So that's kind of a 30,000 foot view of all the
6 cases from 2006 forward.

7 Let me close this one and close this one.

8 CHANTEL CORBETT: So when you say disciplined
9 like the ARRT, does that include CE violations or
10 exclude CE violations?

11 JAMES FUTCH: It can include CE violations.

12 CHANTEL CORBETT: Okay.

13 JAMES FUTCH: ARRT and the other states, ARRT
14 is pretty open about publishing when something has
15 happened to a person and put them on the enforcement
16 list. They'll send us the information. Their
17 person, their discipline coordinator, enforcement
18 coordinator talks to Kelly fairly regularly. They
19 have a pretty good relationship; kind of keep each
20 other aware of what's going on.

21 But they, they don't release records to us
22 willingly. They'll just say, hey, this thing
23 happened. So then we'll have to go to the lawyers
24 and the investigators. We'll have to get a subpoena
25 issued. We'll have to send them a subpoena and then

1 they'll give us the records. So they want legal
2 cover of the subpoena in order to release the
3 records to Florida so we can act upon them.

4 CHANTEL CORBETT: I wasn't sure on CEs since
5 it's not required to have one of those to keep your
6 license.

7 JAMES FUTCH: If you read the statute, it says
8 that we will consider, theoretically, we're supposed
9 to do the same thing they do. If they suspend the
10 person, we should suspend the person. So we'll give
11 very strong weight to what they did, but we'll also
12 follow our -- I didn't show you one of those
13 discipline standards. There's a recommended
14 minimum, maximum penalty. First offense, second
15 offense; that kind of stuff.

16 NCE is one that's, you know, kind of down here
17 on the severity list.

18 This is, this is the report -- one of the first
19 things I showed you was the open cases that we
20 currently have. I think it was 63 or 64, something
21 like that. And this is a weekly report that we do.
22 In this case, it's covering a longer period of time.
23 I asked Kelly to go from the beginning of the fiscal
24 year to present.

25 So at the beginning of the fiscal year, we had

1 68 cases open. Over the past couple quarters, we
2 have opened 44 new cases, we've closed 48. I don't
3 know if that math works out. If it doesn't, it's
4 only off a little bit. So the current case total is
5 around 64. That doesn't represent -- they can open
6 multiple cases against a given Rad Tech, so you can
7 have, like, two cases against the tech. So the
8 number of cases is always greater than the number of
9 techs.

10 And here's how those incidents break down for
11 this time. The current 64 open cases. This is how
12 they break down in terms of how many for each of the
13 various kinds of -- unprofessional conduct,
14 uncertified operator and all the other ones that I
15 just showed you.

16 And then the last thing I want to show you is,
17 is this one. Is this one. Incident stats over from
18 2006, basically, from 2006 forward. This is how the
19 stats break down. So it's got the incident type on
20 the left. I've colored the ones that are basically
21 the same thing, just different categories. You can
22 see the ones that were involved and then the
23 percentage, I guess 1013, that they've represented
24 individually. I've added all the colors together.
25 All the convictions are roughly 2.2 percent.

1 Uncertified operators, 31 percent. All these added
2 together, these percentages. And then
3 unprofessional conduct is 44.

4 So you can see between just these two
5 categories, we've got 85 percent. So those are the
6 two big categories. People working either on
7 expired licenses, which I think is more common than
8 people not working on any kind of license
9 whatsoever. And all the various kinds of
10 unprofessional conduct, which could be drug related,
11 it could be, really anything else in the practice
12 that you didn't do that you were supposed to, you
13 did do that you weren't supposed to.

14 And that's it for, for Rad Tech update. Any
15 questions?

16 RANDY SCHENKMAN: Okay. Well, I guess we're
17 going to move on.

18 CLARK ELDREDGE: I'd like to go back.

19 RANDY SCHENKMAN: You have a question?

20 CLARK ELDREDGE: I've got a question. I've got
21 a couple points I wanted to make.

22 So the one investigation that recently involved
23 that, with MQA as well as we had a complaint
24 against a physician who was instructing his non-Rad
25 Tech employees to take x-rays. So his opinion was,

1 I'm a doctor. I can tell you what to do in my
2 practice and I'm directing you to do this. You
3 shall do this. And it was -- his employees
4 complained to us. His Rad Tech had quit over
5 certain similar activities. He was requiring the
6 Rad Tech to train the front desk people and stuff
7 like that to do the x-rays.

8 In addition, he was not maintaining his
9 equipment. He was not getting it calibrated when
10 the system kept popping up and saying it's out of
11 calibration, get it calibrated now every time it
12 booted up. And the coulometer, the light on the
13 coulometer would not stay on. You had to hold the
14 button on the coulometer, the light on the
15 coulometer, it would stay on ten, fifteen seconds
16 type thing. So you could position it, it would go
17 out. You would have to hold on with one thumb and
18 have to move it.

19 REBECCA McFADDEN: You mean they didn't have
20 duct tape holding it down?

21 CLARK ELDREDGE: At least they had to hold it
22 in position. That was good. But getting it lined
23 up was the problem.

24 The other thing, we're currently involved with
25 rule development for a security scanner. This was

1 part of statutes we passed a couple, passed a couple
2 years ago. And one part of our statute says, you
3 know, developing -- talks about explaining the
4 justification of why you think you should be
5 x-raying people to look for things hidden in and on
6 them.

7 And that's something that when IASCORS, the
8 Inner Agency Steering Commission on Radiation
9 Standards came out with their guidance in 2008, they
10 basically said, we're not going to touch that.
11 Here's how you do the -- here's what you should do
12 to set up a program, but we're not going to tell you
13 how to justify, why it's a good or bad idea to x-ray
14 people to look for things in them.

15 And then the ANSI HPS standard N43.17, 2009,
16 which we've adopted rules for security scanners for
17 inmates, it also says this is how you operate the
18 equipment, but does not go into any justification of
19 why -- what's the cost benefit analysis for doing
20 it; that type of thing. Because, of course, all the
21 rest of the human exposures in the medical field and
22 where it's taking the assumption that the medical
23 professional has determined that there was medically
24 valid, the beneficial information outweighs the risk
25 from the exposure.

1 So that was that group. And that was my two
2 added things that I missed from the other two
3 updates.

4 If anybody has any inspiration on --

5 (Laughter)

6 CLARK ELDREDGE: -- risk weighting, we've got
7 some really rough stuff at this point. But when I
8 went and contacted the EPPI group, and then the
9 health, some public health graduate school and their
10 toxicology folks and their EPPI folks and was
11 referred to another professor at another college who
12 does all hazards evaluations, they said, oh, that's
13 an interesting question. Have fun.

14 (Laughter)

15 CLARK ELDREDGE: Yes, you can let me know if
16 you have any inspiration or know somebody who might
17 have some, because while we all are, all the physics
18 folks in here can look and say, okay, I can take,
19 you know, UNSCEARs or IAEA's or others risk of cancer
20 from what dose using LNT, that's one side of the
21 equation. The other side of the standard is what do
22 we expect for them to say. Here's how I'm balancing
23 the risk on my side to compare about the cancer risk
24 in their, you know, versus how many people I plan to
25 expose at what dose. That's it. We've got one

1 side, the other one is the --

2 NICHOLAS PLAXTON: You think it would be,
3 sounds like it would be easy because you could -- I
4 mean, the idea is let's stop drugs and weapons
5 coming in to the prison, so you can imagine, I'm
6 sure they have stats on how many people get --

7 CLARK ELDREDGE: Right. They just have to
8 provide that and show data.

9 RANDY SCHENKMAN: For the safety.

10 CLARK ELDREDGE: The prisons isn't the hard
11 one.

12 NICHOLAS PLAXTON: Okay.

13 CLARK ELDREDGE: Or the prisoners going in to
14 the people. They're asking, of course, we want to
15 x-ray anybody walking across the --

16 NICHOLAS PLAXTON: Threshold.

17 CLARK ELDREDGE: -- threshold, whether they're
18 visitors or employees or things like that and that's
19 where it gets a little different because they're not
20 personally, usually personally at risk, because the
21 prison just doesn't have one security line. I mean,
22 because you've got the inner sanctum, so to speak,
23 the center part, where everybody is in their cells
24 and whatnot; then you've got a couple buffer areas
25 involved.

1 RANDY SCHENKMAN: Yeah, but you could say it's
2 for the safety of everyone in the building.

3 NICHOLAS PLAXTON: I mean --

4 RANDY SCHENKMAN: That's what it is. That's
5 why you're doing it.

6 CLARK ELDREDGE: Well, you're also saying we're
7 going to provide you -- we're going to increase your
8 risk to offset the other risk for these other
9 people. And so, are you actually receiving any
10 particular dose? The statute actually links it to
11 the individual's risk, not just the group risk. The
12 statute actually says --

13 CHANTEL CORBETT: Even in the hospital prison
14 units, you have to go through the x-ray units.

15 CLARK ELDREDGE: Excuse me?

16 CHANTEL CORBETT: They have metal detectors and
17 x-ray units that they're wanting to put in some of
18 the prison units and some of the hospitals.

19 CLARK ELDREDGE: Okay. Yeah. That's --

20 CHANTEL CORBETT: Yeah, because they're offsite
21 from the prison, itself.

22 CLARK ELDREDGE: Yeah. And how much is that
23 needed there versus when they come back, when they
24 come back to the prison --

25 CHANTEL CORBETT: Yeah.

1 REBECCA McFADDEN: -- with cancer.

2 CLARK ELDREDGE: Yeah. There's plenty of data
3 how many people behind bars actually get hurt by all
4 these things. That's pretty straightforward.

5 NICHOLAS PLAXTON: Sure.

6 CLARK ELDREDGE: But then the question is the
7 risk for the ancillary folks involved with it.

8 REBECCA McFADDEN: Right.

9 CLARK ELDREDGE: And you know, there is, there
10 is something to be said about -- for the benefit of
11 society as a whole. But the statute actually says
12 everybody has to have their individual benefit as
13 well, not just the societal benefit.

14 NICHOLAS PLAXTON: You can imagine there's got
15 to be some, like, inmate attacks on some of the
16 security in those places. But they also, I mean,
17 sometimes the security people are involved in
18 trafficking --

19 CLARK ELDREDGE: Right.

20 NICHOLAS PLAXTON: -- of drugs and weapons,
21 which that's probably why they don't want it to be
22 involved.

23 RANDY SCHENKMAN: There's visitors bringing
24 drugs and weapons in.

25 NICHOLAS PLAXTON: Exactly.

1 CLARK ELDREDGE: Right. Visitors, they're
2 still, if they're interacting with the guards, with
3 the inmates together, is still another layer, the
4 inmate has to go out of the building and back in.
5 You can scan the inmate when they cross their
6 threshold rather than the visitors coming in.

7 CHANTEL CORBETT: Yeah, but that's like no
8 visitors trying to bring prisoners something.

9 RANDY SCHENKMAN: Have any of the visitors ever
10 attacked any of the security guards?

11 CHANTEL CORBETT: Oh, I can guarantee that's
12 happened.

13 CLARK ELDREDGE: That's what they need to
14 demonstrate.

15 CHANTEL CORBETT: State prison? Yeah.

16 CLARK ELDREDGE: And were they hurt by their
17 activities? Of course they were. Yeah, demonstrate
18 the data. That's what we're working on. How to
19 best demonstrate the data. So you've got data and
20 not just want to do it. You actually thought it
21 through. Some states have adopted standards and say
22 you have to demonstrate why none of the other
23 security methods will work for what you want to
24 achieve, which is a slightly different standard.

25 But that would probably also be part of the

1 overall structure, is first why must it be this
2 method? Show us why. And then second, you know,
3 give us the data and what the risks are, what's
4 actually happening, to show that there is something
5 you're actually trying to prevent. And it's going
6 to give a safety benefit, life safety benefit to
7 everybody.

8 NICHOLAS PLAXTON: A cavity search versus scan.
9 Cavity, might as well scan.

10 CLARK ELDREDGE: That's the other consideration
11 of efficiency and personal --

12 WILLIAM ATHERTON: It seems like if that was a
13 choice offered, that would eliminate a lot of the
14 ethical things, if we give them a choice.

15 CLARK ELDREDGE: And the fact if you're -- if
16 there's -- with the x-ray, the difference between
17 that and a lot of other technologies, it's able to
18 look into the body cavities. And so, if it's, you
19 know, are your controls such that somebody can
20 remove something from a body cavity, hand it to the
21 person next to them, and have them insert it into a
22 body cavity so they can go across the security line.
23 What's the controls for that? How obvious, you
24 know, versus, I'm going to take something off my
25 body, out of my neck, hand it to this person.

1 They're going to stick it in their clothing and
2 stick it through. You don't need an x-ray for that.
3 You've got plenty of other technologies that can do
4 a search of the -- between the skin and the clothing
5 that doesn't require transmission of x-rays and it
6 dose internal organs.

7 RANDY SCHENKMAN: They've got to get the info.

8 CLARK ELDREDGE: Yep.

9 RANDY SCHENKMAN: Okay. Brenda? Are you
10 ready?

11 BRENDA ANDREWS: I am.

12 RANDY SCHENKMAN: Okay.

13 BRENDA ANDREWS: Since we've already started
14 working with the travel documents, did everybody
15 turn theirs in to me? I got some of them before we
16 went to lunch. If you have not turned yours in to
17 me and you have any questions, ask me your questions
18 now so we can go ahead and get those picked up.

19 Some of them were completed because generally,
20 you have ground transportation and there aren't any
21 receipts. So I went ahead and this time, did a
22 reimbursement so that it would speed up the process
23 of getting your refund checks or reimbursement
24 checks. The others were the signature pages where I
25 am waiting for receipts and those types of things

1 and then I'll fill the reimbursement out once I get
2 everything in.

3 So if anybody has anything to turn in to me,
4 signed, go ahead and do that now. And that way, I
5 can get that process going when I get back.

6 The other part of my update was the vacancies
7 for the Council. We have more vacancies right now
8 than we've had in quite a while. I think the last
9 time we had about six, which was quite a few at one
10 time. We now have seven. And that would be the
11 basic x-ray machine operator. Mark Wroblewski was
12 in that position before. Now he works for the
13 Department of Health, so he would have a conflict of
14 being on the Council.

15 So we have, in all of these, done a lot of due
16 diligence to get the societies to send in nominees.
17 And in some cases, we have been successful. And in
18 other cases, we have not. I think I started with
19 the one that Walser was in back in '21 and I still
20 do not have a nominee for that position.

21 If you have any ideas or suggestions, I'm open.

22 CHANTEL CORBETT: Which position was that?

23 BRENDA ANDREWS: That was the lay position.
24 That lone title.

25 I also have in your packets, an updated list of

1 all the Council members and showing all the
2 vacancies, so you'll be able to see whose positions
3 are vacant.

4 Now, four of the positions, we have an
5 appointment package that's going through right now
6 and it's in another stage. Since we talked this
7 morning, it has moved. It is now with the chief of
8 staff.

9 So that was the certified health physicist,
10 which is Adam Weaver. We put a package through to
11 reappoint him. The environmental radiation,
12 environmental radiation matters expert, Joe Danek,
13 we put his name in again to -- for the appointment.
14 We have the Board Certified Radiologic Physicist,
15 which is the one Mark Setton is in, and he has
16 reapplied for that position as well.

17 And then the last one we have a nominee for is
18 the Board Certified Podiatrist, and that person is
19 Dr. Luis Rodriguez with Barry University. So we're
20 hoping to get that package completed within the next
21 week or two, if we keep our fingers crossed, and get
22 these people on board.

23 The other ones are, like I said, the lay person
24 or the person who's never been certified as a
25 radiologic technologist or been a member of any

1 closely related profession. Matthew Walser was in
2 that one. And then we have the basic x-ray machine
3 operator or a licensed practitioner who employs
4 same. That's the one I just mentioned that Mark
5 Wroblewski was in. And then after that, let's see.

6 JAMES FUTCH: Radiologist assistant.

7 BRENDA ANDREWS: Radiologist assistant. Do I
8 have that in here?

9 CHANTEL CORBETT: So there should be two, like,
10 lay persons.

11 JAMES FUTCH: Yeah. The other one --

12 BRENDA ANDREWS: Yes.

13 CHANTEL CORBETT: Okay.

14 JAMES FUTCH: The other one we have a
15 physician, Dr. Armand Cognetta from Tallahassee is
16 the dermatologist that is doing the radiation
17 therapy for, for skin cancer.

18 CHANTEL CORBETT: Skin, yeah.

19 JAMES FUTCH: And he has not been at the
20 meetings for a while. We're not really sure if
21 he's -- we don't really know if he wants to continue
22 to serve, but he hasn't been at a few of the
23 meetings. So there's a potential for another one
24 that's currently filled. So we've tried some
25 different things. On the basic operator, that's

1 always a tough one. We most recently tried the
2 chiropractic associations with Dontavia's boss' help
3 because she licenses the chiropractors, because so
4 many of the chiropractors use the basics or were
5 basics back when you couldn't take the chiropractic
6 exam any time you wanted to. And it can be a
7 physician who employs one, so, you know, it could
8 really be either one. No help so far.

9 So if you know of anybody who's a doc who
10 employs a basic or basics, themselves, you know, let
11 us know.

12 The certified radiologist assistant, George
13 knew of a person and I can't remember what happened
14 to that one. Did they not respond?

15 BRENDA ANDREWS: We got a response from them.
16 They did not support or endorse the person that
17 George gave us.

18 JAMES FUTCH: Was that FRS?

19 BRENDA ANDREWS: FRS and they nominated someone
20 else. But the person they nominated did not meet
21 the qualifications.

22 JAMES FUTCH: Was it a radiologist assistant?

23 BRENDA ANDREWS: Technologist.

24 JAMES FUTCH: They turned out to be a tech and
25 not a radiologic assistant.

1 BRENDA ANDREWS: Not an assistant. They
2 recognized, when they got the letter, that they did
3 not qualify for it. So we reached back out to them
4 for them to give us another nominee or endorse the
5 person. We put the language in there up to them but
6 they did not take the bite -- the bait. Whatever
7 you call it.

8 JAMES FUTCH: I don't think they realize how
9 few licensed radiologic assistants --

10 CHANTEL CORBETT: Can we give them the Excel
11 list?

12 BRENDA ANDREWS: James thought ahead and he
13 gave me the list and that second time around, we
14 gave them the list. So I have not heard. And it's
15 been about three weeks now since I gave them that,
16 that information, and asked them to suggest someone
17 else. So we're still waiting.

18 JAMES FUTCH: Thirty-five active licenses.
19 Something like that. Not 350 -- 35.

20 BRENDA ANDREWS: Yeah. So we're having, I'm
21 not sure, it almost seems likes it's falling in line
22 with everything else in this day and time, where
23 people don't respond. But we're going to keep
24 trying and pushing to get either nominees or
25 suggestions or, you know, of someone qualified for

1 those positions so we can get them filled.

2 At least those four, we should -- we will have
3 them filled, providing everything goes through and
4 everything is approved, long before our next
5 meeting. And when that happens, I'll send out
6 updated lists.

7 JAMES FUTCH: On the position, two positions
8 that Brenda calls the lay positions, the ones that
9 can't have been a Rad Tech or a closely related
10 profession, Matt Walser was a physician assistant
11 and that's why he went to the physician assistant
12 group. We also tried the nurse practitioners this
13 time. And I think their thinking is Matt -- the
14 lawyers look at the closely related profession.
15 What's a closely related profession. Well
16 apparently, it's not a dermatologist who does
17 radiation therapy or a physician assistant who may
18 do fluoro or something else for a radiologist who's,
19 who's, you know, in the practice.

20 So I think it's safe to say if you know of any
21 PA or a nurse practitioner in your facilities, and
22 either your facility would like to nominate them or
23 even better, if the society upon which the facility
24 or yourself or part would like to nominate them,
25 please let us know.

1 BRENDA ANDREWS: Yes. You can e-mail me. Copy
2 James, either way, so we can go ahead, because that
3 person has to complete the online DOH questionnaire
4 and submit it. A resume'. And if you find someone
5 that's interested, you can ask them even at that
6 time, to go ahead and give you, to give you their
7 resume' and get that to us and we can go ahead and
8 start the process to get them on board.

9 In the questionnaire, we do look at their
10 references and we do call the references. James
11 does all of that. And he does have conversations
12 with that person. So it's a lot of people. It's a
13 lot of positions to be vacant right now.

14 CHANTEL CORBETT: How many basic operators are
15 active?

16 JAMES FUTCH: Probably between 2 and 3,000.
17 Those numbers have been decreasing as the --

18 CHANTEL CORBETT: That's still more than what I
19 thought.

20 JAMES FUTCH: It used to be, like, 4,000 or
21 something like that.

22 WILLIAM ATHERTON: Which society did you reach
23 out to? FCA?

24 JAMES FUTCH: For?

25 WILLIAM ATHERTON: Chiropractic, to look at

1 those.

2 JAMES FUTCH: We kind of left it up to
3 Dontavia.

4 WILLIAM ATHERTON: I was going to say there's
5 multiple.

6 JAMES FUTCH: Feel free. Feel free because I
7 mean, it hasn't worked. So -- if anybody has any
8 other ideas, you could put anybody in the position.
9 But the problem with that is, most people don't care
10 about the Advisory Council on Radiation Protection,
11 so they have to have some kind of connection to
12 actually want to do it.

13 BRENDA ANDREWS: So that was, that was one of
14 the main things. And then also in your package is,
15 during this time, we usually vote for the next
16 Council meeting for the fall. And so I put
17 calendars in there so we can make those discussions
18 with September through December as the months we're
19 looking at.

20 WILLIAM ATHERTON: November 23rd. That's
21 Thanksgiving. I was just kidding.

22 JAMES FUTCH: So the usual timeframe is the
23 second or third week of September, somewhere along
24 in there. This one is an interesting one because
25 September is ending on a Saturday and October is

1 starting on a Sunday. Usually we have a little bit
2 of crossover into the last week of September; has
3 something to do with the first week of October. I
4 only say that because that's the week that I'm at a
5 timeshare. I need to be somewhere else.

6 CHANTEL CORBETT: What week did you not want to
7 do?

8 JAMES FUTCH: That's a good question. Which
9 week is the 39th? What week of the year, according
10 to timeshare world. I have to go figure that out.

11 RANDY SCHENKMAN: You do or don't want to do it
12 at the end?

13 JAMES FUTCH: I would say the week of the 18th
14 would be okay.

15 BRENDA ANDREWS: September?

16 JAMES FUTCH: Yeah. We can do it.

17 CHANTEL CORBETT: That would be the last week
18 of September would be the 39th week.

19 JAMES FUTCH: So that would be the one to avoid
20 for sure.

21 WILLIAM ATHERTON: Yeah, September 26.

22 CHANTEL CORBETT: You want Tuesday or Thursday?

23 RANDY SCHENKMAN: Tuesday, the 19th, would
24 probably be better for me.

25 JAMES FUTCH: It's up to you guys.

1 CHANTEL CORBETT: I was going to say the 19th
2 or 21st.

3 RANDY SCHENKMAN: The 19th would probably be
4 better for me. I'm not going to be here the 21st.

5 REBECCA McFADDEN: September 19th.

6 RANDY SCHENKMAN: Is September 19th good for
7 everybody?

8 JENNIFER PETERSON: Yes.

9 KATHLEEN DROTAR: Yes.

10 RANDY SCHENKMAN: Okay. So why don't we go for
11 September 19th.

12 KATHLEEN DROTAR: Sounds good.

13 BRENDA ANDREWS: That was easy.

14 RANDY SCHENKMAN: Okay?

15 BRENDA ANDREWS: And, of course, you know, I
16 will check with the hotel here to make sure that
17 date is available here so that we can have it here
18 again. It seems to work out for everybody very
19 well.

20 RANDY SCHENKMAN: Mm-hmm.

21 BRENDA ANDREWS: So if that date is not
22 available, I will let you know immediately. Is
23 there a second date you want to choose in case that
24 one is not available?

25 KATHLEEN DROTAR: Do we need to go to October?

1 NICHOLAS PLAXTON: National Good Neighbor Day?

2 CHANTEL CORBETT: How about the 12th, the week
3 before?

4 RANDY SCHENKMAN: You think you're going to be
5 away the end of September?

6 WILLIAM ATHERTON: His starts the 27th.

7 REBECCA McFADDEN: Maybe that Thursday, the
8 21st.

9 KATHLEEN DROTAR: She's away. Randy's away.

10 CHANTEL CORBETT: She was saying the 21st she's
11 not available.

12 REBECCA McFADDEN: The 14th then?

13 KATHLEEN DROTAR: Do we need to go to October
14 for a second date?

15 RANDY SCHENKMAN: Do you want to go into
16 October?

17 BRENDA ANDREWS: I'm going to check with
18 Summerlin right now to see if that date is open.

19 RANDY SCHENKMAN: Okay. While you're doing
20 that, do we have any old business to go over?

21 (No Response)

22 RANDY SCHENKMAN: Anybody have anything? Okay.
23 Well then, as soon as we find out -- they usually
24 get right back to you?

25 BRENDA ANDREWS: Depends. If they're right

1 there in their office. I know that Summerlin, I
2 sent it to Carlos as well as Summerlin. So one of
3 them may be able to --

4 RANDY SCHENKMAN: Are they right here?

5 BRENDA ANDREWS: They are at the Hilton. They
6 are located across the street at the Hilton.

7 CLARK ELDREDGE: The guy at his office is here.

8 BRENDA ANDREWS: That was Eric. He's not part
9 of it. Well, he could be. I could find out. I
10 don't have his information. I have not dealt with
11 Eric at all. I'm not sure what part of it he's
12 involved in. Let me look his number up.

13 (Stood at Ease)

14 CHANTEL CORBETT: Should we have an official
15 adjournment with an e-mail follow up on date?

16 WILLIAM ATHERTON: We're asking if we can be
17 excused, mom.

18 RANDY SCHENKMAN: Okay. Well, thank you
19 everyone, for coming and the meeting is adjourned.

20 (Proceedings concluded at 2:55 p.m.)

21

22

23

24

25

1 CERTIFICATE OF REPORTER

2 STATE OF FLORIDA:

3 COUNTY OF ORANGE:

4

5 I, Rita G. Meyer, RDR, CRR, CRC, do hereby certify
6 that I was authorized to and did stenographically report
7 the foregoing proceedings and that the foregoing
8 transcript is a true and correct record of my
9 stenographic notes.

10 I further certify that I am not a relative,
11 employee, attorney or counsel of any of the parties, nor
12 am I a relative or employee of any of the parties,
13 attorneys or counsel connected with the action, nor am i
14 financially interested in the outcome of the action.

15

Dated this 5th day of June, 2023.

16

17

18

19



RITA G. MEYER, RDR, CRR, CRC

20

21

22

23

24

25

ADAM WEAVER: [71] 4/1 4/6 4/8 6/9 15/17 15/20 15/24 16/8 16/12 16/16 17/2 17/7 17/15 17/19 18/2 18/7 18/12 37/24 38/4 39/9 41/6 41/8 43/5 44/1 47/2 47/10 51/7 52/1 52/5 52/16 52/24 53/3 53/15 53/20 54/2 55/4 55/25 56/7 56/22 57/2 57/22 58/1 58/5 58/9 58/22 59/11 59/19 60/7 60/10 62/1 62/4 66/10 81/4 81/15 82/12 87/14 87/20 88/9 88/15 88/17 88/20 90/3 93/18 98/5 98/10 121/21 124/20 125/5 128/9 129/9 129/22	154/25 156/12 157/14 158/12 158/14 158/20 159/16 159/24 160/4 160/7 CHANTEL CORBETT: [162] CHARLES HAMILTON: [15] 36/22 57/14 57/20 75/23 75/25 76/2 76/5 77/25 78/7 78/20 78/23 79/14 97/7 97/10 124/6 CLARK ELDREDGE: [82] 4/21 6/18 7/25 9/23 10/3 11/1 13/21 14/10 14/16 15/5 16/5 16/11 16/14 16/23 17/3 17/8 17/17 17/20 17/24 18/9 18/19 18/22 18/24 20/12 38/18 39/13 39/21 40/22 45/5 51/10 51/15 52/25 54/8 58/11 58/18 58/23 60/21 83/9 83/16 98/14 98/17 99/6 99/9 99/12 99/14 99/21 99/25 100/11 109/20 110/1 110/12 110/16 112/17 112/23 113/5 113/23 115/4 115/13 139/17 139/19 140/20 142/5 142/14 143/6	143/9 143/12 143/16 144/5 144/14 144/18 144/21 145/1 145/5 145/8 145/18 145/25 146/12 146/15 147/9 147/14 148/7 160/6 DARREL FISHER: [6] 19/24 28/11 44/9 44/22 47/21 48/10 DONTAVIA WILSON: [16] 49/16 63/11 66/4 66/17 67/21 69/25 71/13 71/19 72/18 73/5 73/8 73/11 74/3 74/18 75/15 75/20 ISRAEL HERSHKO: [25] 19/9 19/11 19/19 20/15 34/24 35/13 35/17 37/10 37/19 38/5 38/7 38/15 38/19 39/6 40/7 42/5 42/11 43/3 43/13 45/2 45/13 45/25 46/11 46/15 48/22 JAMES FUTCH: [143] 6/15 7/24 9/21 9/24 10/23 13/16 13/24 14/14 15/10 15/19 15/22 20/17 20/21 39/10 45/17 45/23 46/7 46/18 47/16 48/3	48/12 48/16 48/20 48/23 49/1 49/5 49/12 49/17 50/1 53/9 53/24 54/11 56/2 57/11 66/5 66/11 67/2 67/8 67/10 67/24 68/13 68/17 69/3 69/7 69/10 69/22 70/4 70/11 70/20 71/11 71/15 73/6 73/10 73/12 74/4 74/19 75/2 75/8 75/16 76/1 76/3 77/23 78/5 78/17 78/24 79/9 79/15 80/4 80/7 80/10 81/8 81/10 81/19 82/8 82/19 83/4 83/19 84/9 84/16 85/6 85/9 85/14 86/7 86/15 86/21 87/6 87/15 93/16 93/21 94/5 94/14 95/25 97/6 97/18 99/13 116/9 118/9 118/17 119/5 120/15 121/7 121/13 121/17 121/24 122/6 122/14 123/1 124/3 124/8 124/14 124/21 125/1 125/7 126/15 128/11 129/10 129/14 129/20 129/23 136/10 136/12 137/6 151/5 151/10	151/13 151/18 152/17 152/21 152/23 153/7 153/17 154/6 155/15 155/19 155/23 156/1 156/5 156/21 157/7 157/12 157/15 157/18 157/24 JENNIFER PETERSON: [5] 4/10 88/1 88/5 88/13 158/7 JOSEPH DANEK: [20] 18/6 18/8 18/16 18/21 18/23 20/20 36/17 37/4 37/14 37/23 38/3 38/6 41/2 41/7 41/9 60/4 60/13 60/20 62/6 92/15 KATHLEEN DROTAR: [48] 50/21 51/4 52/15 52/18 53/8 53/11 56/11 56/19 56/25 57/9 57/18 60/15 60/18 60/23 62/14 62/19 62/23 63/1 65/24 66/6 66/9 66/13 66/18 66/25 67/9 68/12 70/18 71/9 71/17 71/24 73/3 74/11 74/20 88/8 88/12 88/16 90/25 91/14 91/23 92/13 92/18 95/2 122/22 158/8 158/11 158/24 159/8	159/12 MEMBERS: [1] 6/11 NICHOLAS PLAXTON: [120] 4/12 35/20 38/13 39/4 42/14 44/2 44/20 46/12 50/19 50/24 51/17 52/3 52/10 52/19 52/23 53/6 53/13 53/19 53/23 54/1 54/7 54/17 54/21 55/2 55/6 55/10 55/20 55/23 56/3 56/10 57/4 58/25 59/4 59/10 59/23 60/5 60/9 60/12 61/3 61/6 61/18 61/24 62/10 62/17 62/22 62/25 63/5 80/9 81/9 82/2 82/11 82/13 83/3 85/25 86/6 86/11 86/16 86/22 87/4 87/8 87/18 87/24 88/10 88/18 88/21 89/1 89/7 89/12 89/15 89/22 90/13 90/21 91/5 91/18 91/20 92/2 92/5 92/19 92/25 93/9 93/13 93/20 94/4 94/9 94/25 95/3 95/23 97/1 97/5 97/12 98/4 98/6 98/11 98/16
---	---	--	--	---	---

NICHOLAS PLAXTON:... [26] 98/21 100/10 111/17 112/7 112/15 112/19 112/25 114/5 114/19 114/23 115/6 115/10 115/14 115/20 116/3 118/7 143/1 143/11 143/15 144/2 145/4 145/13 145/19 145/24 147/7 158/25	143/25 144/3 145/22 146/8 148/6 148/8 148/11 157/10 157/22 158/2 158/5 158/9 158/13 158/19 159/3 159/14 159/18 159/21 160/3 160/17	43/22 44/8 45/11 48/18	\$ \$10,000 [1] 54/13 \$45 [2] 72/14 73/21 \$50 [3] 72/15 73/21 74/1	12:11 [1] 97/23 12th [1] 159/2 14 [1] 96/23 14th [1] 159/12 15,000 [1] 73/17 150 [2] 10/25 15/23 1500 [1] 46/18 1532 [1] 76/20 16 [3] 11/7 96/22 104/7 17 [1] 91/11 172 [1] 76/22 18 [3] 1/16 77/7 111/16 18,000 [1] 102/8 181 [6] 19/8 21/1 26/7 31/11 32/15 37/3 182 [1] 15/24 18th [1] 157/13 1980 [1] 105/3 19th [6] 157/23 158/1 158/3 158/5 158/6 158/11 1:30 [3] 97/20 97/22 97/24	102/10 20 [2] 28/4 38/22 20,000 [2] 102/4 102/8 20.2201 [2] 33/12 33/23 200 [2] 76/12 76/25 2005 [1] 19/18 2006 [5] 133/23 134/10 136/6 138/18 138/18 2008 [1] 141/9 2009 [1] 141/15 2016 [1] 105/10 2021 [1] 117/9 2022 [2] 6/5 8/6 2023 [4] 1/16 77/18 133/15 161/15 21st [5] 133/14 158/2 158/4 159/8 159/10 22 [1] 38/22 22nd [1] 6/5 230k [1] 102/11 23rd [1] 156/20 24 [4] 30/8 78/9 96/5 111/16 24th [1] 79/12 25 [3] 19/23 22/6 23/9 26 [1] 157/21 27th [1] 159/6 2919 [1] 26/13 2919:2012 [1] 30/24	2:55 [2] 1/17 160/20 2D [2] 27/18 27/20
RANDY SCHENKMAN : [91] 3/2 4/2 4/7 6/3 6/6 6/8 6/10 6/12 6/14 6/16 13/14 16/19 16/25 17/22 18/14 19/4 19/10 20/16 34/20 35/15 45/18 48/13 48/24 49/11 49/14 51/13 54/22 55/7 60/11 60/22 61/10 61/13 62/9 62/12 63/6 66/8 73/9 75/19 75/21 75/24 78/6 78/18 78/21 83/6 85/7 85/13 85/15 88/4 89/25 90/7 91/2 92/1 92/20 94/3 94/10 94/15 94/22 97/9 97/20 97/24 98/7 99/1 99/8 99/11 99/19 99/24 100/9 116/6 139/15 139/18 143/8	REBECCA McFADDEN: [27] 14/25 15/7 51/1 51/8 51/24 52/7 54/19 56/4 90/19 91/4 112/1 112/5 112/9 112/14 112/24 113/2 113/17 113/22 119/4 120/14 124/5 140/18 144/25 145/7 158/4 159/6 159/11	' 09 [1] 105/6 ' 21 [1] 149/19 ' 26 [1] 111/11	0 .009 [2] 91/14 116/16 .06 [1] 32/19 .09 [1] 92/12 .1 [1] 33/8 .24 [1] 91/14	2 2's [1] 15/25 2,000 [2] 77/1 102/11 2,578 [1] 64/8 2,613 [1] 91/9 2.2 [1] 138/25 2.3 [1] 40/21 2.6 [1]	2009 [1] 141/15 2016 [1] 105/10 2021 [1] 117/9 2022 [2] 6/5 8/6 2023 [4] 1/16 77/18 133/15 161/15 21st [5] 133/14 158/2 158/4 159/8 159/10 22 [1] 38/22 22nd [1] 6/5 230k [1] 102/11 23rd [1] 156/20 24 [4] 30/8 78/9 96/5 111/16 24th [1] 79/12 25 [3] 19/23 22/6 23/9 26 [1] 157/21 27th [1] 159/6 2919 [1] 26/13 2919:2012 [1] 30/24	3 3,000 [1] 155/16 30 [2] 26/9 29/4 30,000 [1] 136/5 300 [1] 43/9 31 [1] 139/1 32.74 [1] 29/4 33607 [1] 1/12 34 [1] 77/6 35 [3] 20/7 26/9 153/19 35.40 [1] 31/15 35.590 [1] 31/23 35.75 [2] 32/24 33/3 350 [1] 153/19 37 [3] 16/11 18/6 18/11 39th [2] 157/9 157/18 3B [1] 77/6 3D [2] 27/21 28/6 3rd [1] 7/1
WILLIAM ATHERTON: [25] 36/6 40/11 43/17 43/21 44/24 49/4 49/10 50/15 50/22 54/13 59/13 59/17 118/6 118/8 122/2 124/10 126/12 147/11 155/21 155/24 156/3 156/19 157/20 159/5 160/15	WILLIAM ATHERTON: [25] 36/6 40/11 43/17 43/21 44/24 49/4 49/10 50/15 50/22 54/13 59/13 59/17 118/6 118/8 122/2 124/10 126/12 147/11 155/21 155/24 156/3 156/19 157/20 159/5 160/15	1 1,000 [2] 133/22 134/10 1,797 [1] 64/12 1.85 [1] 127/7 10 [10] 1/17 11/4 11/5 29/4 29/4 31/15 31/23 32/24 33/2 33/11 100 [1] 10/24 1013 [1] 138/23 10Ks [1] 13/12 12 [5] 9/6 83/1 97/8 97/11 97/13 121 [2] 26/8 37/16	1 1,000 [2] 133/22 134/10 1,797 [1] 64/12 1.85 [1] 127/7 10 [10] 1/17 11/4 11/5 29/4 29/4 31/15 31/23 32/24 33/2 33/11 100 [1] 10/24 1013 [1] 138/23 10Ks [1] 13/12 12 [5] 9/6 83/1 97/8 97/11 97/13 121 [2] 26/8 37/16	2 2's [1] 15/25 2,000 [2] 77/1 102/11 2,578 [1] 64/8 2,613 [1] 91/9 2.2 [1] 138/25 2.3 [1] 40/21 2.6 [1]	24 [4] 30/8 78/9 96/5 111/16 24th [1] 79/12 25 [3] 19/23 22/6 23/9 26 [1] 157/21 27th [1] 159/6 2919 [1] 26/13 2919:2012 [1] 30/24	4 4,000 [1] 155/20 40 [3] 27/5 27/6 40/7 44 [2] 138/2 139/3 45 [3] 23/14 38/21 40/7 48 [1] 138/2
YOAV KIMCHY: [21] 19/13 20/22 34/25 36/3 36/13 37/20 37/25 39/17 40/2 40/17 40/24 41/14 42/10 42/16 42/20 43/7 43/20	YOAV KIMCHY: [21] 19/13 20/22 34/25 36/3 36/13 37/20 37/25 39/17 40/2 40/17 40/24 41/14 42/10 42/16 42/20 43/7 43/20	5 50 [5] 6/1 26/9 37/3 49/9 61/17				

5	A	149/9 153/15 156/10 159/2	act [3] 125/11 133/1 137/3	106/4 106/17 106/20 106/25 107/25 108/4 108/8 108/12 108/15 113/13 115/2 115/24 116/5 117/16 120/1 120/11 120/19 122/21 122/25 123/16 123/20 127/18 130/6 144/9 144/10 144/12 145/3 145/11 146/20 147/4 147/5 156/12	administrativ e [2] 116/17 128/21 administrator [3] 2/9 4/20 7/21 admit [1] 124/2 adopted [5] 83/15 96/12 117/8 141/16 146/21 advanced [1] 29/6 adverse [3] 43/6 43/15 43/15 advertised [1] 101/6 advertising [1] 76/13 advised [3] 23/10 24/5 24/13 advising [2] 23/16 47/21 advisory [6] 1/1 2/1 20/10 80/20 93/23 156/10 afraid [1] 62/8 African [1] 12/15 after [26] 11/9 33/13 33/19 38/24 42/4 54/19 57/23 57/24 57/24 72/14 84/25 95/21 96/15 97/17 97/18 102/21 117/23 119/7 119/7 119/18 130/23 131/4 131/7 131/18 135/5 151/5 afterwards [2] 23/3 115/12 again [25]
500 [1] 37/2 52 [2] 30/9 40/6 55 [1] 105/5 58 [1] 99/11 5Cs [1] 76/20 5th [1] 161/15	a.m [1] 1/17 AAPM [1] 111/6 AART [5] 116/22 119/16 123/17 126/12 130/24 able [10] 25/10 25/16 102/14 102/18 102/20 103/13 107/18 147/17 150/2 160/3 about [81] 7/15 9/4 10/10 12/12 13/7 14/21 15/15 18/11 18/16 19/4 20/24 21/2 22/6 22/6 22/8 26/12 27/6 27/8 27/23 30/9 32/19 35/2 35/11 38/21 38/22 40/7 44/3 45/7 46/8 46/18 47/18 47/21 53/18 54/24 63/17 68/3 69/5 71/22 75/11 76/12 76/25 77/1 77/22 78/10 79/1 79/2 79/3 79/10 79/14 79/23 83/23 84/19 86/9 86/9 96/17 96/18 96/21 98/2 102/8 102/10 102/10 102/11 102/24 106/5 106/16 107/2 107/20 111/11 114/16 117/20 118/4 131/12 133/16 136/14 141/3 142/23 145/10	above [5] 27/5 58/8 73/18 85/16 112/19 absence [1] 76/8 Absolutely [1] 91/20 absorb [1] 127/18 accelerators [1] 100/4 accept [6] 67/12 69/11 69/13 69/23 69/23 123/10 acceptable [1] 67/4 accepted [4] 117/3 117/5 118/5 126/9 accepts [1] 69/21 accompanime nts [2] 9/1 9/5 according [3] 30/10 34/16 157/9 accounts [1] 76/24 accreditation [1] 125/24 accuracy [1] 22/24 accurate [1] 92/16 achieve [1] 146/24 ACMUI [1] 83/11 ACR [3] 107/6 111/6 116/25 acronym [2] 8/20 17/14 across [6] 65/13 114/1 130/11 143/15 147/22 160/6	acted [4] 130/23 132/16 133/14 135/12 acting [1] 107/3 action [2] 161/13 161/14 actions [3] 33/22 76/13 76/25 active [4] 108/8 136/1 153/18 155/15 activities [3] 11/11 140/5 146/17 activity [12] 26/8 94/24 112/11 115/6 120/3 120/9 121/19 121/25 122/9 123/20 131/13 135/25 actual [12] 9/6 17/4 39/21 40/15 40/19 70/7 107/21 108/25 110/23 111/1 114/12 120/6 actually [69] 9/7 11/5 13/4 13/9 13/11 14/12 24/23 25/11 49/7 55/11 63/13 63/22 65/2 65/21 65/22 65/25 66/16 69/24 70/2 72/20 73/1 73/2 73/25 75/4 79/11 79/18 87/3 88/3 93/18 95/4 99/7 100/12 101/3 101/20 102/8 103/3 104/1	106/4 106/17 106/20 106/25 107/25 108/4 108/8 108/12 108/15 113/13 115/2 115/24 116/5 117/16 120/1 120/11 120/19 122/21 122/25 123/16 123/20 127/18 130/6 144/9 144/10 144/12 145/3 145/11 146/20 147/4 147/5 156/12 acute [1] 87/22 Adam [4] 2/3 4/9 57/8 150/10 add [2] 75/23 97/7 added [8] 24/20 43/1 118/20 118/21 124/17 138/24 139/1 142/2 addition [2] 75/9 140/8 additional [3] 31/1 34/20 46/24 address [5] 14/8 37/12 83/13 84/12 115/2 Adelle [1] 106/11 adjourned [1] 160/19 adjournment [1] 160/15 administered [3] 30/4 32/22 69/16 administers [1] 31/19 administratio n [4] 28/22 30/16 55/20 84/4	
6					
60 [1] 26/10 63 [1] 137/20 63,000 [1] 102/5 64 [6] 133/5 133/17 133/21 137/20 138/5 138/11 648 [1] 76/20 64E-3 [1] 116/15 64E-5 [1] 116/15 65 [1] 38/23 66 [2] 27/2 38/23 68 [1] 138/1					
7					
70 [3] 26/10 76/22 76/24 72 [1] 30/8 75 [2] 22/6 119/18 752 [1] 46/16 76 [1] 27/4					
8					
8-24 [1] 78/9 82 [1] 27/14 85 [1] 139/5					
9					
90 [4] 22/12 88/14 88/18 88/19 90-day [1] 96/19 9978:2020 [1] 30/25					

A	agreement	102/14 102/16	128/17 148/13	Analyzing [1]	35/24 36/2
again... [25]	[3] 8/24 12/25	103/4 103/4	also [30]	60/8	40/12 40/14
7/23 10/4	96/12	103/25 105/20	12/19 18/10	Analyzing	43/6 43/14
13/19 16/12	ahead [8]	106/2 108/7	18/12 22/25	your [1] 60/8	45/24 48/1
41/11 48/15	123/25 148/18	108/22 112/10	23/1 27/10	ancillary [1]	48/15 52/17
67/6 73/23	148/21 149/4	112/19 114/21	30/16 44/15	145/7	58/17 69/13
78/9 78/12	153/12 155/2	116/5 116/8	45/21 53/21	Andrews [2]	82/18 84/3
86/1 89/4 96/9	155/6 155/7	116/10 116/25	62/2 65/5 68/7	2/11 5/8	85/25 87/24
105/17 107/11	AI [2] 42/2	117/7 118/1	72/9 72/16	animal [1]	97/1 98/2
108/10 108/19	56/2	118/19 118/21	74/12 76/23	82/19	108/18 109/2
126/8 128/7	aide [1]	121/1 123/11	81/24 101/13	annual [3]	112/16 116/7
129/3 134/10	85/20	123/12 124/4	110/25 130/24	64/7 104/22	124/1 130/20
135/3 135/4	aircraft [2]	124/24 125/15	131/25 137/11	104/24	130/25 131/19
150/13 158/18	7/25 8/1	125/16 125/22	141/17 144/6	anonymous	131/20 135/11
against [11]	Airport [1]	126/10 127/11	145/16 146/25	[1] 134/15	135/13 139/8
20/19 98/23	1/11	127/18 127/19	149/25 154/12	another [24]	139/14 141/18
130/23 132/12	alarm [2] 7/9	128/15 130/11	156/14	10/8 29/15	142/4 142/16
132/16 132/24	7/11	130/13 131/19	Although [1]	36/12 70/8	144/9 146/9
133/1 133/6	Albert [1] 2/6	132/23 133/12	107/25	72/14 101/10	146/10 148/17
138/6 138/7	ALBERTO [2]	133/16 133/24	always [9]	102/18 102/20	148/20 149/21
139/24	4/16 4/16	136/5 138/14	34/8 50/15	119/4 124/13	150/25 152/6
age [3] 99/10	algorithm [1]	138/24 138/25	63/4 69/24	129/1 129/12	154/20 156/7
113/8 113/12	126/15	139/1 139/9	71/25 92/7	130/24 132/17	159/20 161/11
agencies [3]	align [1]	141/20 142/12	92/10 138/8	132/19 133/20	161/12
12/22 105/20	36/15	142/17 142/17	152/1	134/3 134/10	anybody [17]
116/23	all [117] 6/11	145/3 149/15	am [9] 4/19	142/11 142/11	13/15 16/7
agency [4]	6/11 6/16 6/19	150/1 150/1	5/17 19/20	146/3 150/6	45/18 86/10
8/19 12/24	8/11 8/13 8/25	155/11 160/11	124/17 148/11	151/23 153/4	97/17 98/1
134/4 141/8	9/8 15/2 15/10	allow [2]	148/25 161/10	ANSI [1]	108/3 127/25
agenda [3]	19/2 19/4 21/8	81/21 81/22	161/12 161/13	141/15	129/5 132/24
3/1 48/7 99/14	23/2 24/1 24/2	allowed [3]	amendment	answer [12]	142/4 143/15
agent [5]	26/1 27/1 27/7	36/25 74/11	[2] 124/7	29/11 29/16	149/3 152/9
10/15 11/12	28/17 32/9	103/6	124/14	30/21 31/14	156/7 156/8
12/3 23/21	33/5 33/5 37/6	allows [1]	America [2]	33/11 34/5	159/22
25/6	38/10 39/15	24/21	12/11 12/12	35/1 44/9	anymore [3]
aggressive	40/1 40/4	almost [3]	amount [8]	96/18 125/3	11/16 53/15
[1] 44/7	40/25 42/23	108/2 123/14	80/13 92/9	125/4 125/25	120/23
Aggressively	45/22 49/15	153/21	120/8 120/12	answered [2]	anyone [3]
[1] 128/10	51/24 63/16	along [5]	120/19 127/8	50/10 52/8	38/12 65/14
ago [9] 6/1	63/18 65/23	48/6 61/9	127/17 127/22	answering [1]	120/19
49/9 49/11	68/2 68/2 71/1	88/19 118/1	analysis [13]	45/22	anything [19]
79/5 79/7	71/6 73/14	156/23	23/5 36/2	answers [2]	11/19 24/14
86/13 118/11	74/20 75/21	alpha [1]	39/20 40/18	70/22 96/20	24/15 24/16
126/4 141/2	76/23 79/4	86/25	42/2 42/13	anticipated	43/13 75/22
agree [10]	81/8 89/2	alphabet [3]	42/24 43/5	[1] 32/17	78/4 78/12
14/3 50/15	89/11 90/15	17/14 105/19	58/13 61/2	any [62] 6/13	80/24 86/5
50/25 55/21	92/22 95/1	107/8	111/15 113/25	9/4 10/1 10/2	97/7 99/20
55/24 58/6	95/9 95/10	already [11]	141/19	13/15 13/17	111/10 113/21
58/6 76/17	95/13 97/25	58/18 61/9	analyst [1]	16/2 18/15	117/20 133/16
94/5 127/24	98/8 99/2	61/9 65/7 65/8	28/8	19/1 25/17	139/11 149/3
agreed [1]	99/13 100/18	65/9 98/22	analysts [2]	26/2 26/2 31/9	159/22
101/12	100/24 101/24	112/25 113/1	41/16 55/3	33/8 33/14	anyway [7]

A	64/15 65/6 67/17	approver [2] 125/20 126/10	102/16 106/18 108/10 108/15	64/19 64/23 65/1 65/9	89/9 89/9 91/11 94/19
anyway... [7]	applied [4] 66/15 72/3 72/10 73/21	approves [2] 77/18 126/14	109/14 109/15 109/22 109/23	65/19 66/3 66/17 66/21	96/12 96/20 97/4 97/4
82/20 89/15 93/20 119/14 125/14 128/6 129/24	applies [5] 32/25 33/16 64/22 65/1 65/6	approving [1] 120/9	110/13 110/14 111/3 113/9 113/18 114/2	66/23 66/24 67/3 67/5 67/8 67/11 68/7	98/11 100/24 103/24 108/25 109/4 111/6
anyways [1] 95/20	approve [16] 32/25 34/19 65/14 66/21	approximate [1] 16/4	115/15 115/19 116/7 120/23 121/1 123/3	68/10 68/14 68/20 68/20 68/21 68/23	111/6 111/6 117/13 117/15 117/15 118/5
anywhere [1] 80/8	68/5 68/6 71/6 71/7 71/24 72/9 72/14	approximatel y [3] 39/6 77/17 133/4	128/17 128/19 129/2 129/20 129/21 129/21	68/24 69/3 69/13 69/20 69/25 70/7	120/2 124/15 125/10 125/12 125/20 126/10
apparently [4] 8/15 123/21 127/21 154/16	74/3 74/6 74/15 74/16 76/17	April [3] 10/8 11/5 133/14	130/9 130/11 130/12 130/12 133/4 133/10	71/7 72/8 72/12 72/24 73/3 74/16	129/5 132/4 135/16 139/23 145/11 145/12
appear [2] 25/18 48/6	appointment [2] 150/5 150/13	are [132] 14/13 14/21 14/23 15/18	133/24 133/25 134/12 134/13 135/19 135/21	74/24 75/11 75/13 75/17 75/19 125/20	147/9 150/16 150/24 155/17 156/18 159/23
Appearing [1] 2/13	applying [5] 18/11 66/2 68/7 71/1 74/14	16/3 19/5 21/7 21/23 25/4 25/5 25/9 27/6	138/20 138/25 139/5 142/17 144/9 145/17	126/12 126/24 132/18 134/9 136/9 136/13	159/23 160/2 160/2
appears [1] 81/21	appoint [4] 45/21 45/22 48/18 74/18	28/3 29/15 29/17 29/20 29/21 29/22	147/3 147/19 148/9 150/3 150/23 155/14	136/13 136/13	aside [2] 7/17 11/3
Applause [1] 48/20	appreciate [4] 45/21 45/22 48/18 74/18	30/3 30/14 31/8 34/1 34/18 35/16	160/4 160/5 160/6	ARRT's [5] 75/12 126/3 126/8 127/21 132/2	ask [9] 8/20 14/5 14/15 34/19 68/22
apple [1] 35/8	approach [1] 29/6	37/2 41/17 42/18 43/17 44/23 45/14	area [2] 8/8 37/23	126/8 127/21 132/2	109/5 109/17 148/17 155/5
applicable [3] 29/16 29/21 32/3	appropriate [2] 107/7 107/7	42/18 43/17 44/23 45/14 46/17 47/8	areas [2] 118/4 143/24	136/13 136/13	137/23 153/16
applicant [10] 64/22 64/25 65/2 65/6 65/23 69/15 70/1 70/6 101/10 101/11	approval [6] 21/17 26/25 28/25 46/14 72/21 123/12	47/22 49/7 49/10 50/13 55/2 55/3 55/12 55/12	aren't [6] 53/15 59/23 71/8 108/5 119/19 148/20	artificial [1] 42/3	asked [2] 137/23 153/16
applicants [6] 64/16 68/6 71/23 76/17 101/7 101/12	approve [10] 6/4 6/6 6/8 29/13 50/13 64/18 116/24 120/21 123/18 126/11	55/14 56/13 58/17 58/22 59/8 61/16 62/8 63/25	area [2] 8/8 37/23	as [88] 2/3 6/1 13/6 15/21	asking [3] 96/22 143/14 160/16
application [14] 65/10 65/15 65/18 66/16 68/18 68/20 70/9 74/2 74/6 74/13 126/7 128/7 132/22 133/20	64/3 64/6 76/21 76/23 77/3 78/16 79/25 83/10 83/14 84/11 85/13 86/1 86/2 87/13 87/13 88/22 88/23 90/9 94/7 96/3 96/6 97/16 97/16 99/4 101/4	55/12 55/12 55/14 56/13 58/17 58/22 59/8 61/16 62/8 63/25 64/3 64/16 67/7 68/4 72/20 76/20 76/21 76/23 77/3 78/16 79/25 83/10 83/14 84/11 85/13 86/1 86/2 87/13 87/13 88/22 88/23 90/9 94/7 96/3 96/6 97/16 97/16 99/4 101/4	arguing [1] 91/12	15/21 16/10 19/19 20/7 21/15 23/19 24/11 24/13 24/13 25/18 28/19 28/20 29/2 29/6 29/17 30/6 32/11 32/19 33/20 36/3 36/3 46/4 46/4 46/5 46/5 46/23 47/2 50/14 55/17 55/19 56/18 56/18 56/24 67/4 67/20 67/20 70/21 71/15 71/22 71/22 74/23 77/12 81/4	aspect [2] 128/2 134/25 aspects [2] 47/25 81/21 ASRT [2] 116/25 125/21 assigned [2] 121/3 122/10 assist [2] 10/6 107/6 assistant [10] 128/21 151/6 151/7 152/12 152/22 152/25 153/1 154/10 154/11 154/17 assistants [4] 64/13 67/20 123/19 153/9

A	30/5 30/17	41/1 41/5	basic [6] 32/2	81/15 83/22	93/5 100/5
associated	31/18 31/18	41/12 42/19	149/11 151/2	86/13 86/14	104/25 122/9
[1] 57/8	31/24 32/5	47/1 49/23	151/25 152/10	90/11 91/21	124/23 125/19
associations	32/6 42/19	50/12 50/24	155/14	93/16 94/2	128/7 128/24
[1] 152/2	43/2 47/7	52/10 55/10	basically [18]	94/21 98/23	130/19 132/8
assume [1]	47/14 55/15	61/1 67/6	19/1 21/12	103/7 104/1	149/17 150/24
109/10	56/24 57/16	67/12 69/24	22/1 25/2	107/2 109/18	150/25 151/19
assuming [2]	58/3 59/8	70/5 72/8	25/14 27/25	111/9 111/23	151/22 153/15
47/7 50/13	161/6	79/13 83/2	54/9 70/16	112/21 112/23	154/9 155/17
assumption	authors [1]	96/1 97/18	85/18 100/17	113/7 114/7	before [30]
[1] 141/22	106/17	97/20 97/21	112/7 117/6	114/9 114/25	10/17 13/7
assumptions	automated	106/10 106/10	125/24 132/20	115/7 116/1	22/2 26/15
[1] 33/7	[1] 115/23	110/18 125/16	133/12 138/18	123/1 123/6	28/9 34/12
assurance [3]	automatically	132/11 133/22	138/20 141/10	123/10 125/9	34/14 35/7
63/10 67/14	[3] 64/25	135/4 139/18	basics [3]	125/22 130/19	37/17 45/10
102/15	112/1 125/11	144/23 144/24	152/4 152/5	131/16 141/20	50/2 50/6
Atherton [3]	autonomous	146/4 149/5	152/10	142/17 143/3	51/15 51/16
2/4 5/13 5/14	[1] 22/21	149/19 152/5	basis [2]	143/19 143/20	52/18 66/2
Atlanta [1]	available [4]	153/3 159/24	29/25 120/24	143/22 144/20	66/16 77/12
18/18	158/17 158/22	background	bathroom [1]	148/19 152/3	83/20 85/17
attacked [1]	158/24 159/11	[5] 19/15	10/22	152/3 155/2	86/8 98/1
146/10	average [7]	19/21 20/7	battery [1]	156/6 156/24	103/9 111/17
attacks [1]	22/5 22/8	105/4 134/4	26/3	157/4	119/2 119/24
145/15	32/19 40/6	Backing [1]	Bay [1] 4/15	Becky [2]	148/15 149/12
attempt [2]	40/21 64/3	33/4	be [183]	5/16 120/12	154/4 159/3
119/17 119/18	64/4	backpack [1]	be five [1]	become [6]	beforehand
attempting	Avion [1]	51/23	122/14	21/25 22/2	[2] 7/3 110/9
[1] 132/20	1/11	bad [2] 95/9	Beach [2]	22/7 32/7	beginning [3]
attempts [2]	Aviv [1] 49/7	141/13	7/10 7/10	44/17 46/22	43/25 137/23
119/5 119/8	avoid [2]	bait [1] 153/6	beam [4]	becomes [2]	137/25
attention [2]	59/23 157/19	Bakes [2]	108/3 108/13	55/22 90/16	behalf [1]
84/1 84/6	award [1]	129/9 129/11	108/14 108/19	becoming [1]	12/17
attorney [1]	100/2	balancing [1]	beams [2]	27/7	behind [4]
161/11	aware [6]	142/22	25/3 25/4	beef [1]	44/17 70/25
attorneys [1]	26/19 72/22	balloon [1]	bears [1]	10/20	80/19 145/3
161/13	82/22 86/11	87/15	129/23	been [53]	being [31]
AU [1] 56/19	87/7 136/20	ballpark [1]	became [2]	6/20 8/2 8/5	10/20 13/10
Auditors [1]	away [9]	46/15	68/8 105/7	8/19 9/1 9/2	39/23 40/15
8/23	20/14 49/20	Baptist [1]	because [73]	9/3 9/4 11/6	53/2 53/3 54/7
August [2]	51/22 51/24	5/3	7/6 14/2 16/22	12/8 13/8	55/12 63/25
8/5 76/15	111/16 124/20	Barry [1]	17/12 17/12	13/11 13/13	64/3 71/23
AUs [1] 58/17	159/5 159/9	150/19	21/8 23/8 24/8	14/13 28/15	72/18 72/20
authorities	159/9	bars [1]	25/15 36/5	30/22 31/5	74/18 90/9
[2] 15/13	awesome [2]	145/3	50/7 51/22	41/11 45/17	92/24 94/8
15/13	112/12 122/20	base [4]	53/16 54/5	64/2 68/1	109/8 110/14
authority [4]	Ay [1] 119/6	104/12 104/16	59/22 61/22	68/16 72/5	111/23 114/12
11/23 109/5	Aye [1] 6/12	104/17 105/13	62/24 64/15	72/5 72/13	114/22 115/5
109/6 131/17	B	based [4]	65/20 67/2	72/22 75/5	115/25 130/15
authorization	back [43] 9/5	23/5 23/21	69/4 69/6	76/15 76/16	130/20 131/15
[2] 100/20	12/2 12/6	24/8 80/20	69/18 71/1	78/5 80/16	131/22 132/10
102/22	22/20 24/23	bases [1]	71/25 72/13	86/18 86/19	132/11 149/14
authorized		132/23	74/25 75/11	87/10 93/4	believe [4]
[18] 29/1					

B	138/4 157/1	bowel [5]	73/18 144/2	California	74/21 76/3
believe... [4]	bite [1] 153/6	35/25 36/1	146/4	[13] 10/9	82/5 82/5
7/10 18/14	blind [1] 19/1	36/3 36/3	Bulgaria [1]	10/12 10/16	82/23 84/4
35/18 81/11	blocks [1]	46/10	101/8	12/1 12/3 12/6	84/22 85/11
beneficial [2]	26/1	box [4] 60/2	bump [1]	13/18 14/5	87/6 90/20
81/19 141/24	blood [3]	60/6 60/6	70/8	14/12 15/9	92/14 93/10
benefit [10]	60/10 60/15	60/20	bunch [6]	16/14 18/18	93/12 94/21
36/8 36/10	61/8	brain [3] 95/6	81/12 106/15	19/3	95/11 95/16
62/19 98/24	blow [1] 82/5	106/9 118/16	125/25 125/25	call [15] 10/8	95/22 96/4
141/19 145/10	blown [2]	brand [1]	126/1 130/21	11/9 11/12	96/4 96/7
145/12 145/13	7/14 7/19	84/8	Bureau [12]	14/1 14/12	96/25 97/18
147/6 147/6	blowout [1]	brand-new	1/9 2/8 2/10	18/25 74/23	103/3 103/9
benefits [1]	95/14	[1] 84/8	4/23 5/5 5/9	75/13 78/19	103/10 103/20
79/9	blue [1]	Brazil [1]	5/11 6/17 6/20	110/20 116/23	106/21 107/1
benign [1]	60/20	12/10	9/10 67/15	118/5 131/10	107/5 108/23
21/21	board [9]	breadwinner	125/18	153/7 155/10	109/24 109/25
best [5]	29/10 31/25	[1] 129/12	bureaucracy	called [4]	112/1 112/2
61/22 70/21	101/2 126/3	break [5]	[1] 124/16	10/9 14/5	112/23 114/17
70/23 71/6	126/8 150/14	83/2 83/4	bureaucrat	72/17 75/5	114/18 114/18
146/19	150/18 150/22	138/10 138/12	[1] 124/10	calls [2]	115/16 117/15
bet [3] 52/2	155/8	138/19	buried [2]	131/11 154/8	117/16 119/8
52/3 52/11	body [7]	breakthrough	53/2 53/15	came [9]	119/17 120/1
beta [1]	22/18 23/24	[1] 28/19	Burns [1]	9/18 12/3 12/5	120/24 121/5
88/21	40/14 147/18	breathe [1]	128/23	13/25 86/13	121/16 122/16
better [4]	147/20 147/22	49/2	business [7]	86/14 101/2	124/2 124/7
124/25 154/23	147/25	Brenda [7]	2/11 19/21	107/11 141/9	127/24 131/7
157/24 158/4	Bogota [1]	2/11 5/8 49/20	54/10 71/15	camera [1]	133/8 134/1
between [9]	10/17	50/3 97/19	101/20 101/21	94/24	135/16 136/11
10/18 25/12	book [7]	148/9 154/8	159/20	can [132]	137/3 138/5
45/8 62/22	120/22 120/25	briefly [1]	busy [1]	13/23 19/10	138/6 138/21
108/18 139/4	121/12 121/20	28/17	133/3	21/5 23/2	139/4 140/1
147/16 148/4	122/24 123/1	bring [2]	button [2]	23/16 24/11	142/15 142/18
155/16	123/8	97/18 146/8	9/23 140/14	24/15 24/15	142/18 143/5
beyond [2]	booklet [1]	bringing [1]	buy [4] 85/3	24/16 25/20	145/14 146/5
25/9 33/22	126/20	145/23	89/17 112/2	27/10 27/16	146/11 147/19
big [7] 43/10	books [2]	broad [6]	121/12	27/17 27/19	147/22 148/3
47/11 95/14	120/21 120/23	36/24 36/24	bye [2] 48/23	27/20 27/22	148/18 149/5
117/15 131/12	booted [1]	47/3 47/5	48/23	27/25 35/14	152/6 153/10
132/15 139/6	140/12	77/13 77/15	C	35/22 36/25	154/1 155/1
bigger [2]	boss [1]	broke [1]	C-Scan [4]	37/6 37/20	155/2 155/5
112/13 117/16	132/7	8/10	22/16 23/4	38/2 40/8 40/8	155/7 156/17
biggest [2]	boss' [1]	broker [2]	29/1 29/13	40/10 41/7	157/16 158/17
50/9 135/14	152/2	10/15 10/20	calculate [1]	41/9 44/9	160/16
Bill [2] 2/4	both [6] 25/8	brought [1]	115/23	44/14 45/9	can't [12] 8/9
5/13	26/14 39/20	87/10	calendars [1]	47/2 47/6	8/20 10/17
Biologicals	67/16 68/11	Brudigan [1]	156/17	51/19 56/3	34/22 60/19
[1] 115/19	69/1	106/14	calibrated [2]	56/16 56/17	92/9 106/16
bit [12] 11/5	bothered [1]	buffer [1]	57/21 57/23	57/21 57/23	119/21 119/23
35/8 77/5 77/8	102/13	143/24	58/3 58/10	65/21 71/3	133/1 152/13
82/18 95/19	bottom [4]	build [1]	calibration	71/19 73/1	154/9
102/5 114/6	27/20 27/22	111/13	[2] 103/4	73/2 74/7	cancer [12]
121/6 123/5	28/4 134/18	building [3]	140/11		19/9 21/5

C	34/8 34/13	149/17 149/18	136/9 136/10	33/2 33/11	chart [2]
cancer... [10]	34/14 34/22	cash [1]	136/11	chain [1]	127/1 127/25
21/20 22/2	35/3 35/12	59/23	CE's [2]	118/2	charts [2]
22/9 36/1	37/3 37/14	Cat [3] 15/25	122/13 126/11	Chairman [1]	127/13 127/24
61/10 62/14	37/19 38/6	18/8 18/12	cecum [2]	2/2	chat [1]
142/19 142/23	38/8 38/24	catch [1]	40/9 43/24	chairperson	71/12
145/1 151/17	39/3 40/4 40/5	131/19	cells [1]	[1] 5/2	Chattanooga
cancerous [6]	40/7 40/9	categories [3]	143/23	challenge [3]	[1] 17/10
21/6 21/25	40/10 40/11	138/21 139/5	center [4]	100/22 100/23	check [18]
22/4 22/7 27/7	41/10 41/12	139/6	24/24 30/6	123/25	2/14 10/1
27/23	42/8 44/16	categorized	69/16 143/23	chance [3]	19/18 19/22
candidate [1]	45/15 51/3	[1] 136/4	centers [2]	22/12 27/6	20/11 28/16
76/14	54/13 54/15	category [7]	113/12 113/17	84/22	29/13 32/8
canister [1]	54/16 55/18	10/11 15/18	centimeter	chances [1]	33/5 34/5
26/14	56/21	76/23 77/2	[1] 22/24	49/10	34/17 47/24
cannot [6]	capsules [14]	81/4 123/16	Central [1]	change [10]	50/7 60/14
17/14 35/5	29/17 29/21	123/17	12/11	38/25 68/12	68/23 68/25
39/3 39/7	30/3 31/8 34/7	cath [1]	certain [9]	72/11 78/11	158/16 159/17
104/2 106/12	35/3 35/6 35/6	114/19	53/22 80/4	81/18 105/11	Check-Cap
cannula [1]	35/17 39/5	caught [3]	80/4 80/15	105/13 105/13	[12] 2/14
95/18	44/22 44/24	75/7 118/1	104/7 107/20	124/13 132/5	19/18 19/22
Cap [14] 2/14	51/22 52/1	118/7	120/8 120/12	changed [3]	20/11 28/16
19/18 19/22	captions [1]	cause [2]	140/5	72/12 117/21	29/13 32/8
20/11 28/16	127/15	83/8 88/1	certificate [9]	126/18	33/5 34/5
29/13 32/8	Caracas [3]	caused [2]	31/4 123/15	changes [6]	34/17 47/24
33/5 34/5	10/17 10/18	85/21 118/12	123/21 130/23	68/15 78/15	50/7
34/17 47/24	14/13	causes [1]	132/4 132/16	116/19 117/12	checked [1]
50/7 77/14	card [2] 61/5	134/2	134/6 134/21	128/16 129/25	69/3
77/21	71/15	caveat [1]	161/1	Chantel [6]	checking [1]
capacity [3]	cardiologist	120/4	certification	2/3 5/19 5/19	62/22
108/20 109/20	[1] 56/17	caveats [1]	[6] 4/20 5/6	47/20 57/15	checklist [1]
132/4	care [5]	89/10	66/3 66/22	80/23	96/24
capsule [68]	48/24 69/4	cavities [1]	66/25 69/12	chapter [9]	checks [3]
19/9 20/25	101/8 119/6	147/18	certified [7]	120/24 120/24	134/4 148/23
21/12 21/15	156/9	cavity [4]	31/25 131/11	121/22 122/1	148/24
22/16 22/17	Carlos [1]	147/8 147/9	150/9 150/14	122/2 122/3	chemistry [2]
22/22 22/24	160/2	147/20 147/22	150/18 150/24	122/11 123/7	101/11 124/24
22/25 23/2	case [11]	CCSP [1] 2/4	152/12	123/7	chemo [2]
23/20 23/20	7/18 113/10	CE [30] 21/16	certify [2]	chapters [4]	92/12 92/15
23/23 24/1	133/2 133/6	104/7 104/8	161/5 161/10	120/25 121/4	chemotherap
24/2 24/17	133/8 133/19	116/17 117/3	CEs [4]	121/13 121/16	y [3] 91/13
24/19 24/23	134/22 135/10	117/4 117/7	103/25 116/24	charge [1]	93/2 93/12
25/2 25/10	137/22 138/4	118/10 119/1	121/15 137/4	58/10	chest [1]
25/12 25/20	158/23	119/2 119/17	cetera [11]	charged [1]	26/21
25/24 26/15	cases [16]	119/21 120/19	99/6 99/6 99/6	72/14	chief [3] 2/10
28/7 28/16	41/23 88/23	121/2 121/4	113/14 131/15	CHARLES [2]	4/23 150/7
30/6 30/11	130/3 130/6	121/12 121/19	131/16 131/21	5/11 76/6	Chinese [1]
30/11 30/22	133/5 133/24	121/20 122/8	131/21 131/21	Charlie [8]	13/7
31/1 31/2	136/6 137/19	122/23 123/12	132/18 132/18	2/11 5/11 10/4	chiropractic
31/15 31/19	138/1 138/2	125/20 126/10	CFR [7] 29/4	75/22 76/5	[5] 5/14 110/6
32/14 33/11	138/6 138/7	126/14 128/23	29/4 31/15	79/2 79/10	152/2 152/5
33/21 34/4	138/8 138/11	129/5 134/23	31/23 32/24	97/7	155/25

C	37/1 42/21 42/22 77/14 77/17 77/21 90/8	106/1 106/7 107/9	24/22 25/7 36/11 58/2 59/12 59/22 77/1 85/3 93/22 119/7 128/5	12/1 14/5 18/5 19/18 19/19 20/3 45/1 79/8 80/12 86/14 87/14	134/25 complying [2] 130/20 135/6
chiropractors [2] 152/3 152/4	close [6] 40/15 124/23 125/15 135/12 136/7 136/7	collects [2] 24/1 30/10	coming [10] 14/23 16/13 16/19 41/17 108/13 109/24 110/10 143/5 146/6 160/19	company's [1] 81/23 comparable [1] 94/25 compare [2] 92/14 142/23	component [2] 72/2 119/1 components [1] 21/1 compromised [1] 90/10
choice [3] 68/22 147/13 147/14	closed [4] 26/5 32/16 101/20 138/2	college [1] 142/11	command [1] 22/25	compared [1] 134/7	computer [1] 56/2
choose [1] 158/23	closely [4] 151/1 154/9 154/14 154/15	collimator [1] 25/2	commands [1] 40/11	comparison [1] 27/11	conceivable [1] 82/10
CHP [2] 2/3 2/5	closer [1] 68/9	Cologuard [5] 60/22 60/23 60/24 60/25 62/25	comment [3] 77/23 78/10 96/19	compatible [1] 96/13	concentrate [1] 36/5
Christmas [1] 6/22	clothing [2] 148/1 148/4	colon [15] 21/22 25/8 25/12 25/14 25/17 27/24 29/7 36/5 40/1 40/6 40/16 40/19 43/23 43/25 60/7	commenters [1] 96/24	complain [1] 140/4	concern [6] 9/4 28/16 40/12 40/15 40/17 40/17
chunk [2] 105/4 105/7	cloud [4] 23/5 42/11 42/12 42/14	colonoscopies [3] 60/1 61/21 62/20	comments [8] 13/15 18/15 48/15 78/1 96/23 97/17 98/9 107/18	complaint [3] 130/3 134/15 139/23	concerned [1] 71/6
churning [1] 102/17	cloud-based [1] 23/5	colonoscopy [13] 21/8 22/3 23/11 23/17 28/1 28/6 36/10 41/24 43/10 43/20 50/17 51/19 61/22	Commission [1] 141/8	complaints [1] 132/23	concerns [2] 7/15 13/6
Cindy [1] 4/25	CNMT [2] 2/3 2/6	colorectal [3] 19/9 21/5 21/20	commissioner s [1] 33/25	complete [6] 30/14 40/21 121/23 122/6 122/8 155/3	concert [1] 105/18
circle [1] 49/22	code [5] 29/1 29/18 29/19 116/17 132/2	colored [1] 138/20	committee [7] 20/10 80/21 87/7 87/10 100/3 106/4 111/12	completed [5] 31/25 72/2 102/19 148/19 150/20	concluded [1] 160/20
city [1] 9/13	coding [1] 133/12	colors [1] 138/24	common [7] 35/25 56/24 57/3 64/17 64/20 130/11 139/7	completely [4] 22/13 32/21 34/14 94/5	conclusion [1] 85/9
civilian [1] 9/12	coefficients [2] 110/20 110/22	combined [1] 112/6	communicate s [1] 22/22	completion [1] 116/12	conclusions [1] 90/2
clarify [1] 13/18	Coffey [1] 2/4	come [21] 8/24 9/7 13/2 35/7 47/1 50/12 53/22 65/13 70/15 83/2 89/21 124/2 128/6 129/6 129/17 134/3 134/14 135/4 136/3 144/23 144/24	Commission [1] 141/8	compliance [2] 116/21 134/20	condition [1] 131/24
Clario [1] 112/6	Cognetta [1] 151/15	colored [1] 138/20	communicati on [2] 25/23 25/24	compliant [1] 47/10	conduct [10] 131/25 132/1 132/2 135/15 135/16 135/19 135/24 138/13 139/3 139/10
Clark [8] 2/10 4/22 6/18 13/17 15/16 20/13 56/3 128/13	cohorts [1] 11/10	colored [1] 138/20	communicati ons [1] 9/16	complicated [1] 74/5	cone [2] 108/3 108/19
classroom [1] 32/1	collect [9] 23/1 24/18 24/22 25/10 29/7 61/1 106/21 107/15 109/12	colored [1] 138/20	community [2] 96/16 96/21	complianced [1] 18/5	conference [4] 12/20 99/5 104/24 104/24
clause [1] 124/16	collected [1] 82/20	colored [1] 138/20	company [13] 10/13 10/14	complied [1] 18/5	confidence [1] 84/5
clean [1] 9/19	collecting [2] 106/25 107/4	colored [1] 138/20		comply [1]	conflict [2]
cleansing [1] 21/8	collection [7] 24/20 30/11 38/25 39/2	colored [1] 138/20			
cleared [1] 20/15		colored [1] 138/20			
clearer [1] 125/9		colored [1] 138/20			
clinic [5] 4/12 33/20 36/19 37/4 77/16		colored [1] 138/20			
clinical [14] 20/24 28/23 29/5 29/8 31/3 36/19 36/22		colored [1] 138/20			

C	57/13 77/19 77/20 110/9	cooperation [1] 111/7	101/14 152/5	116/18	crossover [1] 157/2
conflict... [2] 81/14 149/13	contacted [1] 142/8	Coordinate [1] 73/10	coulometer [4] 140/12 140/13 140/14 140/15	cover [3] 15/4 15/4 137/2	CRR [3] 1/19 161/5 161/19
conform [1] 121/9	content [1] 25/15	coordinated [2] 11/10 12/19	COUNCIL [11] 1/2 2/1 28/13 64/7 93/23 116/13 149/7 149/14 150/1 156/10 156/16	covered [1] 59/17	CRT [2] 133/7 133/13
conformance [1] 30/24	contention [1] 126/22	coordination [1] 12/24	Council's [1] 82/22	covering [3] 129/4 129/4 137/22	crushed [1] 31/9
confusion [1] 75/10	contents [2] 25/7 25/8	coordinator [7] 7/14 12/8 118/11 128/23 129/5 136/17 136/18	counsel [2] 161/11 161/13	covers [2] 13/13 58/25	CT [12] 24/11 74/24 90/21 108/3 108/19 113/4 114/9 114/11 114/16 114/17 114/24 115/18
Congressional [1] 80/17	continue [5] 23/24 125/11 126/9 136/2 151/21	copy [3] 73/1 84/18 155/1	cracking [1] 54/20	crazy [2] 80/10 95/3	CTO [1] 19/19
connect [1] 113/21	contract [1] 17/11	copying [1] 20/14	count [9] 68/22 68/23 68/24 68/25 69/1 69/3 73/16 120/1 124/9	CRC [3] 1/19 161/5 161/19	CTs [5] 105/8 108/3 111/19 111/19 114/17
connected [1] 161/13	contrast [5] 23/21 25/6 51/3 91/14 93/3	Corbett [3] 2/3 5/19 5/19	counts [2] 111/1 133/19	CRCPD [4] 17/13 100/5 104/21 105/19	cured [1] 22/13
connection [1] 156/11	control [16] 1/9 2/8 4/21 4/23 5/6 5/9 5/12 12/20 22/21 33/22 40/2 67/15 96/10 99/5 104/22 125/19	corpse [2] 44/13 44/18	country [1] 114/1	CRCPH [1] 111/12	curie [1] 15/23
cons [1] 63/2	controlled [2] 33/17 45/5	correct [7] 36/10 36/11 43/21 114/23 118/14 122/15 161/8	counted [1] 94/18	create [1] 135/10	curies [3] 10/24 10/25 11/7
consensus [5] 116/21 117/1 121/10 125/21 126/16	controls [5] 15/22 16/10 116/15 147/19 147/23	correctly [2] 16/1 117/24	counts [2] 111/1 133/19	credit [10] 118/5 118/25 119/1 119/19 120/10 120/14 123/17 123/24 126/24 126/25	curious [3] 38/7 38/14 126/13
conservative [1] 33/7	control [16] 1/9 2/8 4/21 4/23 5/6 5/9 5/12 12/20 22/21 33/22 40/2 67/15 96/10 99/5 104/22 125/19	corrects [1] 118/16	county [4] 7/10 7/11 7/13 161/3	Creek [1] 9/12	current [7] 14/20 18/10 45/14 130/6 133/2 138/4 138/11
consider [3] 75/18 87/6 137/8	controlled [2] 33/17 45/5	correlation [1] 27/10	couple [10] 8/3 9/14 72/9 76/10 96/11 138/1 139/21 141/1 141/1 143/24	cremated [2] 52/25 53/3	currently [15] 19/19 36/23 41/25 43/4 46/16 76/11 76/19 77/15 78/12 101/8 102/4 133/13 137/20 140/24 151/24
consideration [2] 102/23 147/10	controls [5] 15/22 16/10 116/15 147/19 147/23	cosmic [1] 105/12	course [27] 45/7 77/3 77/8 81/23 84/17 102/12 106/24 107/8 108/7 113/7 119/17 119/21 121/2 121/20 122/9 123/15 123/17 123/20 127/11 127/12 134/23 135/2 135/3 141/20 143/14 146/17 158/15	crew [5] 10/7 50/5 50/7 66/13 106/8	customer [1] 39/13
considering [1] 78/9	convert [1] 115/16	cost [4] 39/6 54/12 59/12 141/19	courses [1] 102/4 150/21	crime [2] 132/12 132/12	cut [1] 52/17
consistent [2] 93/25 108/17	converting [2] 110/22 115/17	could [24] 15/12 34/19 35/4 35/12 36/7 44/20 57/5 68/14 73/5 75/2 83/15 86/4 106/9 108/23 109/2 139/10 139/11 140/16 143/3 144/1 152/7 156/8 160/9 160/9		criminal [1] 130/22	cycle [4] 102/7 121/16 122/13 123/3
consistently [1] 108/16	converts [1] 56/8	couldn't [2]		criteria [12] 32/21 32/24 32/25 33/2 35/5 83/24 84/13 84/16 94/12 94/18 125/3 125/23	cycles [1] 123/12
constitute [2] 33/21 78/13	convicted [2] 132/10 132/12			cross [1] 146/5	
constraining [1] 68/4	conviction [1] 134/2			crossed [2] 102/4 150/21	
construction [3] 8/11 8/16 8/17	convictions [2] 134/5 138/25				
consultant [3] 2/10 2/11 5/10	cookies [1] 129/11				
consumer [1] 105/14					
contact [10] 37/15 37/18 46/17 47/23 48/1 48/9					

D	161/15	decreasing	depending	140/25	100/19 101/1
daily [1]	dates [2]	[1] 155/17	[2] 59/10	development	101/14 134/25
21/13	107/20 133/8	dedicated [1]	73/16	al [1] 111/9	135/1 135/1
damage [3]	Dave [1] 20/4	128/18	Depends [1]	device [26]	135/13 137/12
83/9 88/4 88/7	David [1]	deficiencies	159/25	19/23 21/16	139/12 140/19
Dana [1]	101/16	[1] 64/17	dermatologist	22/20 28/19	die [1] 34/13
101/2	day [6] 37/17	deficiency [1]	[2] 151/16	28/20 28/21	died [1] 44/5
Danek [4]	51/8 96/19	64/20	154/16	29/3 32/3	dies [1] 34/12
2/5 5/22 5/22	153/22 159/1	deficient [1]	described [1]	37/16 37/19	difference [4]
150/12	161/15	64/16	83/14	43/7 44/5 46/6	50/21 64/22
DAP [1]	days [13]	define [2]	describing [1]	46/23 52/17	94/10 147/16
113/4	9/15 11/17	78/16 83/25	83/24	54/1 79/8	different [25]
Darrel [11]	12/17 26/8	defined [1]	design [2]	80/12 81/18	25/9 25/11
2/15 5/25	33/13 42/17	15/21	30/22 54/15	81/23 85/2	54/6 59/10
19/25 20/18	45/17 46/17	definitely [6]	designated	86/15 89/18	67/8 67/22
21/2 28/11	51/6 51/16	61/22 62/11	[2] 28/18	90/14 90/19	67/25 70/22
44/9 47/17	64/4 71/8	62/18 63/13	34/10	116/2	81/7 82/19
48/4 49/6	130/23	64/1 86/23	designation	devices [1]	88/23 102/25
49/10	DC [2] 2/4	definition [3]	[2] 21/15	101/18	104/12 109/19
DAT [1] 95/6	106/3	78/17 82/17	28/20	diagnostic	110/23 114/2
data [47]	deal [4] 7/2	89/5	designed [2]	[14] 30/2	114/3 114/3
23/2 23/6 24/2	20/21 46/20	definitions	20/25 31/8	31/16 35/24	115/19 125/13
26/24 27/16	75/10	[4] 78/17 80/1	desk [2]	57/18 81/4	132/1 138/21
27/17 28/8	dealing [5]	83/23 84/3	128/19 140/6	81/5 86/2	143/19 146/24
28/24 29/8	12/12 12/13	degree [1]	detail [1] 7/4	86/22 86/23	151/25
41/17 41/20	12/13 67/17	48/3	details [2]	87/21 89/2	digging [1]
42/1 42/4	114/7	delay [2]	18/12 133/2	90/10 113/11	54/19
42/12 42/13	dealt [1]	65/4 65/12	detected [1]	113/11	diligence [1]
42/14 42/21	160/10	delivery [1]	84/10	dialed [1]	149/16
42/23 51/23	deaths [1]	104/15	detection [4]	79/18	DiMarco [1]
55/2 56/8 64/9	43/13	demonstrate	6/25 9/19 21/6	did [29] 6/1	79/22
91/8 94/2	decade [2]	[5] 103/21	27/13	26/24 34/24	ding [3] 84/7
106/1 106/21	21/23 21/23	146/14 146/17	detector [2]	37/24 39/14	84/7 84/7
107/4 107/6	decay [3]	146/19 146/22	52/7 93/12	39/20 40/18	dipping [1]
107/9 107/15	37/14 37/17	denial [2]	detectors [3]	50/6 66/14	129/10
108/6 108/7	38/10	100/6 100/22	25/22 52/3	68/12 86/10	directing [1]
109/2 109/13	decayed [2]	denied [2]	144/16	92/2 105/10	140/2
109/25 109/25	11/5 45/10	100/18 100/19	determine [5]	118/24 129/7	direction [1]
111/4 111/23	decaying [1]	density [2]	83/13 85/20	134/3 134/20	36/17
112/1 112/23	24/24	8/2 8/4	89/22 89/25	137/11 139/13	directive [3]
135/9 143/8	December [4]	dental [2]	107/9	148/14 148/21	24/18 31/14
145/2 146/18	37/2 72/3	108/2 110/6	determined	152/14 152/16	31/16
146/19 146/19	77/18 156/18	department	[3] 93/7	152/20 153/2	directly [4]
147/3	decide [2]	[12] 2/8	103/16 141/23	153/6 155/22	10/18 37/9
databases [1]	27/25 136/2	64/23 64/24	determines	157/6 161/6	45/16 48/11
111/2	decided [4]	65/2 65/19	[1] 84/13	didn't [22]	director [1]
date [9] 24/3	10/21 14/19	67/16 73/15	develop [1]	9/24 11/18	66/8
70/24 101/19	28/9 38/25	119/12 125/18	110/8	15/15 43/14	directors [3]
158/17 158/21	decides [2]	131/20 132/21	developing	43/25 50/16	12/20 99/6
158/23 159/14	41/20 41/21	149/13	[2] 21/11	53/25 54/3	104/23
159/18 160/15	declare [1]	dependent	141/3	66/5 71/20	disagree [1]
Dated [1]	82/2	[1] 90/4	development	92/22 98/19	98/5
			[2] 118/6		

D	16/21 19/12	137/9 137/9	111/24 114/13	119/11 120/13	129/10
disappeared	21/7 23/10	137/21 139/12	121/24 132/7	120/17 120/22	down [30]
[1] 102/13	23/24 24/5	139/13 140/1	133/16 138/3	123/6 123/18	10/5 10/7 11/5
discipline [6]	24/15 27/24	140/2 140/3	138/5 143/21	124/9 125/6	12/9 13/25
130/3 130/9	32/24 34/6	140/7 141/11	148/5	131/2 131/4	14/3 18/11
134/9 134/22	36/2 36/25	141/11 142/21	Doggone [1]	135/3 135/11	38/15 39/11
136/17 137/13	39/5 39/15	146/20 148/3	82/10	136/21 138/2	39/12 50/11
disciplined	39/25 40/18	149/4 149/20	DOH [2]	145/21 148/2	58/2 59/12
[1] 136/8	42/20 42/23	151/7 154/18	72/17 155/3	151/21 153/8	59/22 79/17
discovered	44/21 44/25	155/9 155/10	doing [27]	153/23 156/9	83/21 94/11
[1] 84/23	45/1 45/24	156/12 157/3	9/1 35/24	157/11 158/10	96/7 101/20
discrete [1]	46/13 47/2	157/7 157/11	39/16 43/5	160/10	102/2 123/6
29/17	47/7 48/8 48/8	157/11 157/16	47/8 56/13	done [13] 7/5	127/23 130/13
discuss [1]	49/20 49/20	158/25 159/13	58/15 59/6	27/11 41/11	134/18 135/14
77/25	52/12 53/9	159/15 159/20	76/12 92/13	42/2 83/19	137/16 138/10
discussed [1]	53/16 57/23	161/5	103/22 105/20	97/16 97/16	138/12 138/19
77/12	58/15 61/2	doc [1] 152/9	108/4 108/5	100/13 109/1	140/20
discussion [2]	68/3 68/10	docs [1] 80/9	108/8 108/16	109/17 116/8	downloaded
71/16 92/4	69/8 69/23	doctor [4]	114/2 119/2	122/14 149/15	[3] 23/3 24/3
discussions	69/23 70/13	55/13 56/7	123/8 128/1	Dontavia [10]	27/17
[2] 63/5	70/17 74/11	59/2 140/1	129/2 134/24	2/10 4/18 4/19	Dr [1] 57/12
156/17	75/14 75/22	doctorate [1]	134/24 141/19	49/16 49/17	Dr. [5] 4/13
Disease [1]	76/1 76/3	20/2	144/5 151/16	50/3 63/8	39/19 46/9
95/7	76/25 77/12	doctors [2]	159/19	67/13 67/16	150/19 151/15
disgruntled	77/12 77/13	55/14 59/6	Don [3] 13/4	156/3	Dr. Armand
[1] 134/16	78/4 78/10	documentatio	13/5 106/10	Dontavia's	[1] 151/15
disposal [4]	78/12 78/18	n [2] 32/12	don't [75]	[2] 73/24	Dr. Grossman
34/4 34/6	80/18 83/2	65/24	4/6 8/20 15/24	152/2	[1] 39/19
34/10 34/10	85/4 85/4	documents	18/12 35/21	dose [34]	Dr. Luis [1]
disregarding	86/25 95/6	[4] 79/1 82/21	36/4 37/21	21/11 22/18	150/19
[1] 25/15	95/7 96/13	96/3 148/14	37/22 37/23	22/19 26/11	Dr. Nicholas
disruptive [1]	99/14 99/20	DOE [1]	38/1 39/1	31/15 32/18	[1] 4/13
24/12	102/20 102/25	105/19	43/11 43/12	33/1 33/8	Dr. Plaxton
dissect [1]	103/4 103/10	does [23]	44/17 46/10	39/15 39/16	[1] 46/9
54/17	103/14 104/2	15/4 18/6 18/7	47/14 50/12	40/13 40/14	draft [2]
dissolve [1]	104/9 108/11	18/7 18/8	50/15 53/5	40/15 40/23	18/14 119/11
31/13	111/19 112/6	21/13 32/5	54/17 54/18	82/18 89/18	drift [1]
distance [2]	112/16 113/7	39/24 42/16	58/6 58/17	90/10 105/1	108/18
25/12 25/17	113/25 117/17	78/13 94/23	59/9 59/14	105/5 107/21	drink [3] 51/3
distributed	120/5 120/8	98/1 101/17	59/18 59/25	107/23 107/25	51/3 51/10
[1] 29/4	120/22 121/1	112/3 117/25	60/17 62/7	108/6 108/17	drinking [1]
District [1]	121/9 122/1	125/3 134/4	62/25 66/20	110/20 110/23	51/4
9/13	122/2 123/7	136/9 141/18	67/11 69/24	113/4 113/14	driving [2]
diversion [1]	123/18 124/1	142/12 154/16	73/13 75/7	114/12 114/25	70/25 80/19
10/11	124/1 124/12	155/11 155/11	75/14 75/14	142/20 142/25	Drotar [1]
diving [1]	124/18 126/2	doesn't [21]	75/19 87/24	144/10 148/6	2/6
24/13	126/11 126/14	10/1 22/3	88/1 91/7 95/9	doses [1]	drove [1]
division [3]	126/25 126/25	52/14 66/4	103/19 104/9	110/14	11/18
67/13 67/14	128/13 129/13	70/19 72/24	106/15 109/6	dosimetry [2]	drug [6]
129/1	134/23 134/23	74/7 80/3	110/3 117/6	85/22 85/24	28/22 130/17
do [138]	135/1 135/7	85/25 87/1	118/13 118/13	DOT [1] 17/8	130/25 131/1
11/10 11/21	135/8 135/25	89/17 92/6	119/6 119/10	Double [1]	131/3 139/10

D	educate [1] 7/23	102/17 121/1	5/24 24/7	113/14 131/15	ever [5] 89/10 118/1
drugs [4] 131/24 143/4 145/20 145/24	educational [1] 72/2	127/16 131/22	26/10 38/5	131/15 131/20	132/24 135/17
duct [1] 140/20	Effective [1] 26/11	139/11 152/20	enforcement [2] 136/15 136/17	131/21 131/21	146/9
due [1] 149/15	effects [1] 43/6	153/17 153/22	engineer [1] 101/16	132/18 132/18	every [16] 54/16 57/17
duration [1] 26/11	efficacy [2] 28/24 29/8	154/18 157/5	engineering [2] 19/16 101/20	ethical [1] 147/14	62/15 62/21
during [8] 9/20 44/19 75/2 84/25 100/13 108/8 134/6 156/15	efficiency [1] 147/11	embedded [1] 31/12	enlarge [1] 46/18	ethics [1] 135/3	63/22 89/18
dying [2] 44/19 52/12	eight [5] 6/23 32/1 35/3 35/12 67/22	emergency [1] 7/13	enough [2] 42/1 89/10	Europe [1] 101/13	89/19 90/17
E	either [15] 26/3 43/1 48/15 54/15 60/19 65/11 70/6 70/20 77/9 90/9 139/6 152/8 153/24 154/22 155/2	emission [2] 26/10 117/24	entire [1] 82/6	European [3] 21/17 21/18 26/25	95/22 104/4
e-mail [4] 17/12 96/25 155/1 160/15	employees [5] 67/16 73/17 139/25 140/3 143/18	emitter [1] 86/25	envelope [1] 37/12	evaluate [1] 90/19	104/7 104/25
each [18] 11/7 23/21 23/22 31/2 31/3 33/12 41/17 63/14 63/21 121/2 121/22 121/25 122/3 122/11 128/10 133/5 136/19 138/12	employing [2] 131/9 131/14	employee [3] 134/17 161/11 161/12	environment [1] 105/12	evaluated [3] 104/1 108/2 108/3	109/18 115/20
earlier [5] 8/7 61/14 62/10 62/12 92/5	employer [2] 131/1 131/18	employees [5] 67/16 73/17 139/25 140/3 143/18	environmental [7] 2/9 2/11 7/20 19/16 101/13 150/11 150/12	evaluation [1] 8/23	130/10 140/11
early [1] 22/11	employing [2] 131/9 131/14	employs [3] 151/3 152/7 152/10	EPPI [3] 7/22 142/8 142/10	evaluations [3] 107/21 108/1 142/12	everybody [11] 4/1 4/3 57/21 108/2
earnest [1] 111/10	electrical [1] 101/16	EMTs [1] 67/20	equation [1] 142/21	evaluator [2] 5/12 76/14	123/11 143/23
Ease [2] 50/1 160/13	electro [1] 19/21	end [7] 24/19 54/6 110/19 115/5 121/7 157/12 159/5	equipment [9] 9/23 53/17 81/24 113/9 113/12 113/16 113/21 140/9 141/18	evaluators [1] 76/11	145/12 147/7
easier [3] 35/9 93/11 113/2	electromagnetic [2] 24/7 24/9	ended [3] 7/19 8/12 9/22	equivalent [1] 16/11	even [20] 13/11 22/4 22/11 35/25 58/19 61/17 73/13 82/6 87/21 87/23 87/24 87/25 88/24 94/25 95/5 111/19 114/24 144/13 154/23 155/5	148/14 158/7
easily [3] 44/15 44/20 115/16	electronic [3] 25/23 111/23 111/25	ending [1] 156/25	Eric [2] 160/8 160/11	event [12] 9/20 32/20 32/23 43/15 43/17 78/4 78/14 82/2 82/8 84/14 89/5 104/10	158/18
easy [3] 131/4 143/3 158/13	elements [2] 21/3 22/16	endorse [2] 152/16 153/4	errors [2] 135/2 135/2	eventually [3] 48/6 56/4 70/6	158/18
EBay [1] 8/4	elevated [1] 29/7	endorsement [14] 65/5 65/7 65/11 67/5 67/19 69/12 70/15 70/17 71/7 71/24 73/22 74/3 74/14 75/18	especially [2] 39/11 52/12		158/18

E	explain [3] 36/7 126/1 126/6	78/20 91/11 92/4 94/1	fancy [1] 61/4	12/17 35/20 38/3 40/4	4/24 76/14 117/23 118/7
example [1] 126/22	explaining [1] 141/3	F	far [8] 9/3 35/11 71/22	41/22 42/17 43/11 46/2	118/13 118/24 119/22 135/12
examples [1] 28/3	expose [1] 142/25	facilitate [1] 48/2	102/7 102/9 102/16 107/16	55/5 63/15 74/17 86/13	financially [1] 161/14
exams [1] 75/18	exposed [1] 38/12	facilities [14] 46/19 46/21 46/22 47/21	102/16 107/16 152/8	86/24 116/19 123/11 149/9	find [16] 4/24 10/1 13/9
exceed [3] 33/2 33/8 37/3	exposure [19] 26/5 26/18 26/19	47/24 57/13 71/2 76/21	fart [1] 106/9	151/22 153/9 FHP [2] 11/10	23/15 25/12 25/16 35/6
Excel [1] 153/10	26/20 33/6 38/13 40/19	77/11 103/19 107/3 112/14	farther [1] 101/15	11/17 fiber [1]	73/25 96/6 132/25 134/4
excellent [1] 73/7	40/24 105/22 105/23 107/21	112/22 154/21	fast [1] 42/15	field [2] 9/2 141/21	155/4 159/23 160/9
excess [1] 90/9	107/24 108/7 109/13 110/7	facility [16] 13/4 26/16	Faudy [1] 10/6	fields [3] 104/16 104/17	finding [6] 21/6 22/1
exclude [1] 136/10	110/20 110/22 128/3 141/25	33/18 34/10 34/16 37/7	favor [1] 6/11	104/18 fifteen [4]	27/19 27/23 28/9 62/13
exclusion [1] 35/5	exposures [5] 105/7 105/10 105/16 105/18	37/8 42/19 55/10 96/8	FBI [4] 8/6 9/11 9/18 10/1	120/20 121/3 121/4 140/15	findings [1] 23/15
excreted [4] 24/2 30/9 33/21 34/13	141/21 extensive [2] 33/4 71/16	102/23 103/18 103/23 108/25	FCA [1] 155/23	fifteen-minut e [1] 120/20	finds [1] 62/10
Excuse [1] 144/15	extent [1] 110/11	154/22 154/23	FDA [17] 13/5 13/8	Fifty [1] 49/11	fine [4] 38/4 55/6 134/23
excused [1] 160/17	extra [1] 85/2	facility's [1] 81/24	13/10 21/15 21/17 26/25	figure [6] 39/16 93/9	135/1 fingers [1]
Exemption [1] 28/21	extract [1] 111/22	fact [8] 13/9 13/23 16/12	28/18 28/25 36/16 40/22	119/21 127/2 127/6 157/10	150/21 finish [2]
existing [2] 81/24 81/25	extrasuasion s [2] 78/2 78/5	34/1 57/24 92/11 93/25	46/14 46/17 77/14 77/18	figured [1] 117/24	71/18 76/4 finished [2]
expect [2] 110/15 142/22	extrasuvasion s [2] 78/13 78/16	147/15 factor [1] 71/5	102/22 105/19 106/11	filed [2] 100/18 100/22	97/8 97/11 firing [1]
expected [3] 29/23 38/9 38/11	extravasation s [2] 78/17 78/16	factors [1] 68/4	FDG [1] 115/1	files [1] 103/20	101/24 firm [2]
expelled [1] 32/15	extravasated [2] 82/17 93/4	failing [2] 130/22 131/21	fearless [1] 76/8	filing [1] 132/3	10/12 100/7 first [31]
expenses [1] 15/2	extravasation [17] 78/23 78/24 80/13	failure [1] 33/20	February [1] 8/3	fill [2] 125/24 149/1	11/11 14/1 18/4 28/17
expensive [5] 52/7 54/1 54/2 61/24 112/11	83/8 84/4 84/6 86/19 88/4	fairly [3] 14/2 130/11 136/18	fecal [1] 27/11	filled [5] 63/22 70/9	41/16 42/6 42/6 42/7
experience [1] 35/10	88/6 90/11 94/9 94/13	fall [3] 24/14 81/4 156/16	federal [6] 8/21 14/20	151/24 154/1 154/3	49/15 50/4 51/6 56/2
experienced [2] 63/19 63/20	94/17 94/19 95/10 96/5	falling [1] 153/21	29/2 29/19 29/19 103/3	filling [1] 4/23	57/25 63/8 64/23 65/1
expert [1] 150/12	98/3 extravasation s [5] 78/7	false [3] 95/11 95/12 132/3	feedback [1] 14/22	fills [1] 119/7 final [8]	65/19 66/2 66/21 68/19
expired [3] 136/1 136/3 139/7		familiar [3] 32/7 110/4 110/17	feel [5] 63/19 63/21 65/3	28/25 42/20 46/6 47/8	104/5 104/8 104/14 106/19
		family [2] 101/9 129/12	feelings [1] 129/7	58/24 102/21 103/12 134/20	115/9 117/22 130/7 137/14
			fees [1] 102/9	finally [8]	137/18 147/1
			felt [2] 13/20 21/24		
			few [18]		

F	161/2	forty [5]	161/10	gentlemen	14/21 37/12
first... [1]	fluctuates [1]	121/12 121/13	Fusion [1]	[1] 19/7	39/2 61/8
157/3	77/8	121/19 122/13	5/19	George [2]	76/16 91/8
fiscal [4]	fluid [1] 51/8	122/25	Futch [4] 2/9	152/12 152/17	97/2 97/5
64/9 133/15	fluoro [1]	forward [4]	5/5 5/5 7/1	get [83] 4/5	111/7 114/25
137/23 137/25	154/18	106/24 111/17	future [2]	10/13 13/23	128/10 140/9
Fisher [4]	flush [1]	136/6 138/18	35/18 106/1	13/24 14/25	140/22 148/23
2/15 5/25 20/1	51/24	found [5]	G	15/15 16/21	GI [1] 30/7
57/12	fly [1] 110/1	14/7 27/7 35/8	Gabfest [2]	17/11 17/23	Ginny [1]
five [26] 8/8	focus [2]	130/20 132/10	99/18 100/1	23/14 40/9	101/5
8/12 13/12	106/22 106/23	founded [1]	gamma [1]	41/1 42/16	GIs [1] 56/23
14/17 15/16	folks [22] 7/6	19/18	94/24	46/4 46/5 46/6	give [23]
28/4 36/12	7/11 7/23 9/18	four [13]	gastroenterol	46/6 46/14	7/21 14/4 38/2
42/22 64/14	14/11 85/1	27/8 42/22	ogist [3]	49/25 51/19	40/25 71/14
76/3 77/15	96/20 99/18	51/6 59/6	41/22 56/18	61/21 62/7	74/25 79/20
101/7 121/15	101/5 106/17	85/16 85/19	56/22	62/8 63/15	99/22 111/14
121/17 122/1	108/10 110/15	89/11 90/3	gastroenterol	69/24 70/5	112/23 118/25
122/2 122/12	113/9 114/2	91/9 128/19	ogists [2]	70/22 71/1	120/13 126/25
122/14 124/8	126/3 128/20	129/4 150/4	42/23 56/13	71/7 72/8	126/25 137/1
124/11 124/18	131/4 134/4	154/2	gastrograph	72/12 73/2	137/10 147/3
125/5 127/24	142/10 142/10	fourth [1]	[1] 51/10	74/23 76/17	147/6 147/14
128/8 128/12	142/18 145/7	129/4	gather [1]	79/22 80/11	153/4 153/10
153/18	follow [5]	free [2] 156/6	28/23	82/4 82/13	155/6 155/6
fix [1] 119/8	13/17 16/9	156/6	gathering [1]	82/14 92/9	given [12]
Flint [1]	81/11 137/12	frequency [2]	25/7	95/9 95/10	30/18 33/2
100/14	160/15	25/23 92/4	gauge [3]	95/11 96/20	80/21 104/21
flip [1] 113/6	following [3]	Friday [1]	7/16 7/25 8/1	98/1 99/4	109/1 110/14
floor [1]	30/14 100/14	12/1	gauges [6]	99/13 99/24	112/25 113/14
73/18	122/17	friendly [1]	8/3 8/4 8/8	102/21 104/20	115/16 118/22
florescent [1]	food [2]	39/3	8/13 9/17 77/3	108/11 109/11	123/24 138/6
25/6	28/22 118/2	front [3]	gave [7]	109/24 109/25	gives [1]
Florida [46]	foot [1] 136/5	106/11 106/14	74/10 96/4	110/15 111/1	131/17
1/12 1/20 2/8	force [3]	140/6	134/15 152/17	111/12 112/1	giving [3]
4/10 5/15 5/23	70/25 80/19	FRS [2]	153/13 153/14	114/4 117/10	55/17 56/21
16/16 20/2	99/11	152/18 152/19	153/15	119/19 120/9	116/2
20/20 36/20	foregoing [2]	full [5] 103/3	gear [1] 10/2	123/9 124/7	glad [2]
43/1 43/2 46/2	161/7 161/7	103/7 103/8	gearing [1]	134/18 135/17	71/11 74/20
47/15 48/5	forget [1]	126/20 129/21	105/17	136/24 140/11	glide [1] 14/2
50/13 50/14	127/7	fully [2]	general [1]	143/6 145/3	go [67] 10/16
58/3 66/2 66/3	Forgetting	101/4 102/1	57/13	148/7 148/18	10/17 21/12
66/20 67/2	[1] 6/22	fun [4] 8/2	generally [1]	149/1 149/5	23/11 23/17
67/4 68/5 68/6	forgotten [1]	10/8 63/4	148/19	149/5 149/16	24/6 28/17
68/19 68/25	67/21	142/13	generate [1]	150/20 150/21	37/10 51/22
69/1 69/13	form [4]	functional [1]	114/10	153/24 154/1	59/15 59/19
69/14 69/21	132/5 132/14	83/9	generated [1]	155/7 155/8	63/9 65/20
69/22 100/16	135/21 136/4	functions [1]	114/10	159/24	66/10 71/3
103/1 103/7	format [1]	22/23	generation	gets [6] 40/5	73/25 74/8
103/13 103/14	107/10	funny [1]	[1] 35/19	42/9 68/18	74/15 78/15
109/4 116/16	former [2]	49/12	Gentle [1]	68/20 109/10	79/13 83/20
117/4 117/5	134/16 134/16	further [5]	20/22	143/19	83/22 85/15
119/12 125/18	forth [2]	28/1 47/18	gentleman	getting [17]	91/13 94/11
126/11 137/3	70/13 126/2	61/9 118/19	[1] 102/18	9/3 11/9 13/7	96/1 96/2 96/3

G	82/3 82/4 82/7	96/5	green [1]	130/20 132/10	26/8
go... [39]	85/20 85/22	gosh [1]	107/17	guy [3] 19/3	Halifax [1]
96/4 96/5 96/7	85/23 86/2	124/6	grew [1] 9/15	106/10 160/7	4/16
96/24 99/10	87/24 89/10	got [47] 7/14	grinding [1]	guys [13]	hall [1] 14/1
109/6 109/11	89/12 89/21	7/18 10/8	53/3	11/13 16/18	Hamilton [4]
110/5 111/17	89/24 90/4	12/23 14/1	Grossman [1]	35/22 44/4	2/11 5/11 5/11
117/17 118/18	90/18 92/7	17/11 18/20	39/19	44/21 46/3	76/7
123/25 125/16	92/8 92/10	20/21 37/16	ground [3]	47/17 48/17	Hampton [1]
128/25 131/4	93/19 95/10	41/4 44/2	31/10 105/13	53/10 71/12	1/10
131/7 131/17	95/18 95/20	46/10 66/16	148/20	84/22 85/11	hand [3]
132/4 133/3	95/21 97/25	66/17 70/14	group [16]	157/25	132/6 147/20
135/5 136/23	99/4 99/7	74/9 76/2	8/16 9/8 13/24	H	147/25
137/23 139/18	102/16 104/20	76/14 77/6	14/25 17/6	had [60] 6/23	handle [3]
140/16 141/18	105/11 105/13	77/19 87/2	17/19 18/21	7/2 7/8 8/7	15/2 126/21
144/14 146/4	105/13 106/24	91/16 96/19	92/18 98/11	10/12 10/16	126/21
147/22 148/18	107/3 108/18	109/18 110/21	123/8 124/1	10/20 12/1	handles [1]
149/4 155/2	109/14 109/15	111/11 111/18	125/21 142/1	12/4 13/4 14/2	130/18
155/6 155/7	109/17 109/23	111/21 116/9	142/8 144/11	14/9 14/12	handling [1]
157/10 158/10	110/1 111/12	123/11 127/3	154/12	15/12 27/2	32/2
158/25 159/13	112/4 119/19	130/16 138/19	groups [7]	27/4 27/5 27/8	hands [3]
159/15 159/20	120/9 120/23	139/5 139/20	7/23 106/22	35/2 35/11	15/7 118/25
goal [2]	121/4 123/9	139/20 142/6	106/22 107/15	35/21 38/20	123/19
70/23 89/16	135/5 135/6	142/25 143/22	117/3 123/3	43/6 43/9	hands-on [2]
god [2] 49/3	136/20 139/17	143/24 145/14	125/22	43/19 49/8	118/25 123/19
119/4	141/10 141/12	146/19 148/3	grow [2]	66/11 66/14	happen [4]
goes [13]	143/13 144/7	148/7 148/15	21/22 22/7	67/1 72/16	15/15 70/19
18/3 25/22	144/7 147/5	152/15 153/2	growing [3]	73/23 74/23	82/4 134/1
41/12 45/16	147/24 148/1	gotten [2]	21/20 77/9	74/23 74/24	happened [7]
56/6 68/19	149/5 150/5	14/2 14/12	77/9	80/12 88/3	79/6 88/7
88/19 123/22	153/23 156/4	Government	guaiac [1]	88/3 88/5 88/6	132/8 136/15
124/20 126/2	158/1 158/4	[1] 16/21	61/5	92/21 100/12	136/23 146/12
133/22 135/18	159/4 159/17	Governor's	guarantee [1]	101/2 101/20	152/13
154/3	gold [2] 20/5	[1] 7/1	146/11	102/17 102/23	happening
going [101]	61/23	gradually [1]	Guard [1]	104/10 117/7	[1] 147/4
11/14 21/2	gone [3]	21/22	9/12	118/19 119/15	happens [6]
36/19 46/25	24/17 86/12	graduate [4]	guards [2]	122/6 126/6	13/19 34/12
48/21 49/9	95/5	20/1 66/1 66/8	146/2 146/10	130/23 133/24	34/22 54/21
49/23 50/10	good [29] 4/3	142/9	guess [14]	137/25 139/23	73/24 154/5
50/10 50/13	4/18 5/13 5/16	graduates [1]	41/3 41/12	140/4 140/13	happy [1]
54/18 59/16	5/25 9/5 11/5	72/10	47/19 53/14	140/21 149/8	46/3
59/21 59/23	11/6 19/14	graduation	53/21 85/1	149/9	hard [2]
62/24 63/7	19/20 36/17	[1] 70/24	96/16 97/15	hadn't [1]	76/16 143/10
63/8 63/9	39/10 44/14	graph [2]	98/8 99/2	72/13	hardly [1]
66/12 66/21	45/20 76/6	127/1 127/13	111/22 128/25	half [12]	108/3
66/24 69/9	98/10 104/5	Grays [1]	138/23 139/16	21/23 26/8	hardware [1]
70/4 70/4	128/8 128/9	107/17	GUEST [1]	37/17 49/19	109/8
71/14 71/19	129/7 129/9	great [2] 9/3	2/13	67/17 111/12	harmed [1]
75/6 77/24	132/19 136/19	75/10	guidance [5]	111/13 114/25	90/9
78/15 79/12	140/22 141/13	greater [1]	7/4 12/12	115/1 115/3	has [71] 6/20
79/15 79/19	157/8 158/6	138/8	80/22 111/7	127/12 127/13	9/4 12/8 14/13
79/20 79/22	158/12 159/1	greatly [1]	141/9	half-life [1]	22/18 22/21
79/23 81/3	Google [1]	113/15	guilty [2]		22/22 25/21

H	44/12	heart [2]	2/14	hold [9]	38/14 38/19
has... [63]	hazardous [2]	37/21 38/1	hey [1]	10/21 11/14	39/5 39/14
26/7 28/19	9/11 9/14	heck [1] 85/3	136/22	11/23 20/19	41/13 42/15
31/5 31/25	hazards [1]	help [8]	Hi [2] 5/1	82/10 109/20	42/16 45/6
45/17 46/10	142/12	18/21 73/5	36/7	140/13 140/17	45/9 46/13
47/3 47/5 47/7	he [32] 7/2	75/19 84/25	Hialeah [1]	140/21	53/25 55/25
48/15 54/24	12/9 12/16	111/24 128/19	39/12	holder [1]	59/25 69/7
57/12 57/17	12/23 14/1	152/2 152/8	hidden [2]	132/4	71/23 78/22
58/14 62/18	14/2 18/4 18/5	helped [1]	133/6 141/5	holding [1]	78/22 83/13
63/20 64/1	41/21 52/8	7/16	high [6] 24/7	140/20	83/13 83/15
65/2 68/14	53/25 58/3	her [4] 100/2	24/13 60/1	hole [2]	84/12 85/23
76/15 78/3	66/11 82/24	120/13 120/13	61/2 84/5	25/22 115/20	86/9 89/21
78/4 79/1 79/5	97/13 101/10	128/24	86/10	home [1]	92/13 92/14
80/21 80/21	101/19 101/23	here [53] 5/2	higher [1]	30/6	92/22 93/3
87/10 90/16	129/7 140/5	20/9 25/20	94/17	honestly [2]	96/23 103/25
90/17 93/3	140/8 140/9	27/16 46/14	highlight [2]	63/20 112/23	106/21 107/18
95/19 97/17	149/12 149/13	47/19 63/14	78/17 117/21	hope [1]	109/7 109/17
98/13 102/19	150/15 151/19	67/15 68/4	highly [1]	129/11	109/24 111/21
103/23 104/25	151/21 151/22	68/23 68/25	32/23	hopefully [3]	111/22 114/2
109/4 109/18	153/12 154/11	71/11 73/10	Hilton [2]	98/6 126/9	115/8 117/10
116/22 119/16	155/11 160/9	74/18 74/20	160/5 160/6	129/12	117/24 118/13
121/3 122/3	he's [6] 12/22	75/23 76/7	him [3] 12/15	hoping [1]	120/17 126/2
122/9 123/11	101/16 101/18	79/16 79/24	104/15 150/11	150/20	126/20 126/21
124/17 124/18	151/21 160/8	80/24 82/10	Hip [1] 53/7	hospital [7]	126/24 126/25
128/7 128/25	160/11	83/5 83/8	hips [1] 53/4	5/3 7/17 30/3	127/2 127/4
130/10 132/24	head [3]	83/21 85/8	hire [1]	33/20 57/9	127/6 127/24
133/21 136/14	15/24 95/8	85/12 85/15	102/18	112/13 144/13	133/4 134/2
141/23 142/4	104/3	89/3 100/16	hired [3]	hospital's [1]	134/12 138/10
145/12 146/4	headache [1]	106/10 117/15	39/19 74/23	4/17	138/11 138/12
149/3 150/7	43/16	119/3 119/14	75/5	hospitals [6]	138/18 141/11
150/15 151/19	headquarters	119/24 120/16	hiring [1]	76/22 112/5	141/13 141/17
155/3 156/7	[3] 18/18	123/5 123/6	101/24	113/10 113/16	142/22 142/24
157/2	18/22 18/23	123/8 125/16	his [18]	113/18 144/18	143/6 144/22
hasn't [3]	headway [1]	128/14 129/17	11/16 13/3	hotel [1]	145/3 146/18
86/19 151/22	80/15	130/4 133/2	47/20 57/12	158/16	147/23 153/8
156/7	health [15]	135/14 137/16	101/19 101/20	hour [2]	155/14 159/2
hat [1] 49/9	2/8 4/17 5/18	142/18 151/8	101/21 101/25	49/19 104/8	however [10]
hate [3] 97/3	20/4 20/6	158/4 158/16	139/24 139/25	hours [10]	29/21 33/15
97/5 129/17	47/25 67/16	158/17 158/17	140/3 140/4	30/8 30/9 32/1	33/18 44/19
have [279]	67/21 73/16	160/4 160/7	140/8 150/13	40/6 43/9	64/14 64/25
haven't [6]	106/13 125/18	here's [15]	159/6 160/7	104/7 120/10	91/13 101/5
13/8 65/11	142/9 142/9	58/8 79/21	160/10 160/12	120/13 120/13	120/21 120/25
80/23 93/7	149/13 150/9	84/21 122/24	historical [1]	120/21	HPS [1]
102/11 107/17	hear [3]	126/20 126/21	133/20	housed [1]	141/15
having [12]	34/24 35/22	127/10 127/11	historically	9/10	HR [1] 75/6
7/21 8/2 61/18	66/5	127/12 128/1	[1] 78/3	Houston [1]	huge [4] 49/2
63/13 65/22	heard [5]	133/20 138/10	history [2]	13/3	50/20 120/16
72/14 72/23	17/16 56/22	141/11 141/11	79/6 79/20	how [80]	131/25
76/16 106/9	96/16 100/24	142/22	hit [3] 8/16	13/7 14/5	Huh [1] 6/7
132/15 133/21	153/14	hereby [1]	82/1 82/7	15/12 20/24	human [2]
153/20	hearing [1]	161/5	hmm [2]	22/15 27/16	38/12 141/21
hazard [1]	109/3	Hershko [1]	59/11 158/20	28/7 28/17	hurdle [1]

H	133/6 138/20	70/24 158/22	incredible [1]	injuries [1]	instructions
hurdle... [1]	138/24 139/20	immobility	49/14	87/18	[5] 30/10
70/8	139/20	[1] 43/23	indeterminat	injury [7]	30/18 32/10
hurt [2]	I.D [4] 64/19	impaired [2]	e [1] 127/22	81/1 83/25	34/6 34/17
145/3 146/16	64/22 72/23	130/15 131/8	individual [4]	84/7 85/21	instrumental
I	72/23	impairment	29/17 44/11	85/24 87/22	[1] 7/2
I'd [2] 28/17	IAEA [5] 12/9	[5] 130/17	120/6 145/12	88/1	insurance [3]
139/18	12/10 12/16	131/5 131/23	individual's	inmate [3]	62/15 62/21
I'll [7] 38/2	12/18 12/23	135/21 135/22	[1] 144/11	145/15 146/4	111/2
40/25 41/1	IAEAs [1]	IMPEP [1]	individually	146/5	insure [2]
68/9 99/10	142/19	8/19	[1] 138/24	inmates [2]	31/2 31/2
149/1 154/5	IASCORS [1]	implemented	indoor [1]	141/17 146/3	Integrated
I'm [70] 4/11	141/7	[1] 123/2	105/5	Inn [1] 1/10	[2] 8/19 8/22
4/11 4/13 4/17	ICRP [1]	implementing	induced [1]	inner [2]	integrity [2]
4/22 5/1 5/1	110/19	[1] 123/4	85/24	141/8 143/22	31/3 31/11
5/8 5/9 5/17	IDE [1] 28/21	important [4]	industrial [3]	inpatient [1]	intelligence
5/20 5/22	idea [9] 42/1	28/23 30/13	10/25 77/2	95/22	[2] 42/3
14/24 17/5	44/13 44/14	44/15 119/14	77/7	inpatients [1]	119/20
17/11 19/22	73/7 89/13	imported [1]	industry [2]	95/17	intended [1]
20/1 35/13	97/4 98/10	13/10	19/24 111/3	insane [1]	23/13
37/5 37/24	141/13 143/4	impression	infinite [2]	95/25	intensity [1]
38/14 41/5	Ideally [1]	[2] 75/2 80/11	120/17 120/18	insert [1]	24/13
47/7 47/19	89/14	improve [1]	info [1] 148/7	147/21	intentional
49/23 51/21	ideas [2]	106/1	information	inside [6]	[1] 33/24
52/5 54/2	149/21 156/8	Improvement	[12] 19/2	24/21 25/2	inter [1]
56/15 58/19	identify [2]	[1] 9/13	48/9 57/13	25/14 25/21	12/24
60/1 61/19	84/25 107/5	inauguration	65/22 74/9	25/22 54/21	inter-agency
61/21 66/7	identifying	[1] 7/1	77/19 77/20	insides [2]	[1] 12/24
66/8 69/19	[1] 29/6	incident [5]	111/14 136/16	52/4 52/6	interacting
71/10 71/14	III [1] 2/11	32/14 133/25	141/24 153/16	insights [1]	[1] 146/2
74/20 75/16	illegal [1]	135/13 138/17	160/10	130/2	interest [3]
76/6 76/7	135/25	138/19	ingested [1]	inspection [1]	46/11 81/14
79/15 85/23	image [4]	incidents [1]	32/14	134/6	96/9
87/9 91/12	82/13 82/15	138/10	ingestible [1]	inspections	interested [6]
93/1 97/6	94/25 95/13	include [3]	22/17	[6] 12/7	46/9 46/22
98/12 98/22	images [1]	135/16 136/9	ingestion [1]	102/20 108/5	47/23 106/3
100/17 106/3	28/6	136/11	31/19	108/9 108/11	155/5 161/14
106/9 114/15	imagine [3]	included [1]	initial [2]	134/14	interesting
114/20 120/15	37/7 143/5	83/12	61/17 103/24	inspectors [2]	[6] 49/1 59/24
122/19 128/12	145/14	includes [4]	initially [2]	9/2 102/17	114/8 128/5
129/16 140/1	imaging [13]	20/7 32/9 89/9	7/12 64/5	inspiration	142/13 156/24
140/2 142/22	19/9 20/25	132/1	inject [3]	[2] 142/4	interfere [1]
143/5 147/24	25/5 25/21	including [4]	81/3 82/6	142/16	24/9
149/21 153/20	26/19 26/22	21/1 32/10	114/21	instead [3]	interference
157/4 158/4	40/1 82/4	33/6 132/2	injecting [2]	34/10 116/2	[1] 24/7
159/17 160/11	84/24 89/2	incoming [1]	92/7 116/1	133/21	Interim [2]
I've [13]	93/16 95/6	67/18	injection [6]	institution [1]	2/10 4/22
17/16 20/9	95/8	increase [2]	80/14 84/25	29/11	internal [1]
20/21 67/21	immediate	63/25 144/7	87/3 91/22	institutional	148/6
72/22 80/3	[3] 71/9 88/10	increased [3]	94/20 95/13	[2] 7/7 29/10	internationall
88/2 111/18	88/16	15/21 16/9	injections [3]	instructing	y [1] 12/8
	immediately	94/20	81/8 86/6 94/7	[1] 139/24	interpret [2]
	[3] 29/22				

I	18/18 51/20	64/11 71/23	85/23 86/12	J	81/19 81/23
interpret...	81/22 83/15	72/13 72/18	86/20 86/21	Jacksonville	82/14 82/22
[2] 41/7 41/9	90/5 106/8	72/20 100/6	89/4 90/4	[1] 37/4	83/18 83/21
interpretation	116/13 138/22	136/25	90/22 92/7	James [8] 2/9	83/23 85/16
[6] 47/9 47/11	139/22 140/24	issues [5]	95/3 95/8	5/5 5/5 10/4	90/22 92/6
54/24 55/9	143/25 145/7	7/22 18/1	95/21 95/23	116/9 153/12	93/8 93/14
57/17 58/15	145/17 145/22	46/24 61/18	95/23 95/25	155/2 155/10	95/8 95/14
interpreted	160/12	96/17	96/22 98/24	January [3]	95/16 95/25
[2] 54/24	involves [2]	issuing [1]	101/25 104/7	6/23 6/25 7/8	96/4 96/25
124/15	9/20 132/13	105/1	110/5 113/1	Jeffrey [1]	98/3 98/9
interpreting	involving [3]	it [411]	113/1 114/8	106/14	98/19 102/3
[1] 55/2	135/19 135/21	it's [154] 4/3	115/1 115/25	Jennifer [2]	103/21 107/12
interprets [1]	135/24	8/15 8/21	116/3 120/20	2/5 4/11	110/1 114/17
41/14	iodinated [3]	10/25 15/6	121/4 123/1	job [4] 8/14	114/25 117/10
intervention	51/3 51/6 51/8	15/8 15/14	123/3 123/16	101/22 128/24	119/8 120/8
[1] 33/19	iodine [2]	16/12 16/13	123/17 123/19	129/1	120/11 121/16
interventions	23/21 87/3	16/17 17/9	124/21 125/8	Joe [3] 5/22	122/5 126/7
[1] 34/1	iodine-based	17/14 21/12	125/9 125/9	49/8 150/12	126/13 126/18
interview [1]	[1] 23/21	22/13 22/25	125/14 127/11	John [2] 7/19	127/14 131/6
11/20	ionizing [1]	23/1 23/5	127/12 127/21	10/6	133/7 136/22
interviewed	105/23	23/13 23/14	128/8 129/16	Jorge [1]	138/15 138/21
[2] 101/7	IR [1] 114/18	26/1 26/2 26/3	130/12 131/16	12/7	139/4 143/7
101/12	IRB [2] 29/12	26/13 27/23	131/16 133/13	Joseph [2]	143/21 144/11
introduce [1]	47/1	28/9 28/9	134/15 135/12	2/5 5/22	145/13 146/20
19/13	Irene [1]	28/18 34/23	136/1 136/2	journey [1]	151/4 156/21
introduction	79/19	35/4 35/25	137/5 137/22	116/12	justification
[2] 19/8 20/19	Iridium [2]	36/1 36/8 36/9	138/3 138/19	July [2] 126/4	[2] 141/4
introductions	10/22 15/23	36/24 37/16	140/10 141/13	126/8	141/18
[1] 4/6	is [416]	37/18 37/22	141/22 144/1	jump [3]	justify [1]
introductory	Isfiya [1]	37/22 38/5	147/5 147/17	49/18 78/25	141/13
[1] 107/13	2/14	38/10 38/23	147/18 150/6	130/4	
inventory [3]	isn't [4]	39/24 40/20	153/14 153/21	June [5] 9/6	K
29/15 29/20	44/12 87/19	44/15 45/12	154/16 154/20	77/17 101/18	Kathleen [1]
29/24	105/13 143/10	46/25 47/1	155/12 155/12	101/19 161/15	2/6
investigation	Iso [3] 26/13	50/18 50/19	157/25	just [86] 8/7	Kathy's [1]
[3] 7/22 28/1	30/24 30/25	50/20 52/6	items [1]	8/11 8/17 15/4	68/5
139/22	isotopes [3]	53/2 54/6	6/19	17/12 18/17	keep [6]
investigation	20/11 80/21	55/13 55/17	its [2] 34/9	28/17 35/14	33/15 133/11
al [2] 28/20	93/23	56/13 56/16	121/2	37/5 37/17	136/19 137/5
28/21	Israel [7]	56/24 57/3	itself [9]	38/7 38/14	150/21 153/23
investigations	2/14 2/15	60/1 60/18	25/20 26/7	39/25 41/1	keeps [3]
[1] 109/9	19/20 45/4	60/21 61/4	26/14 26/15	41/5 43/23	26/4 112/8
investigators	45/24 49/7	61/23 61/24	48/7 74/13	44/7 45/20	133/11
[1] 136/24	49/7	61/24 62/2	103/23 111/9	47/8 51/8	Kelly [5]
invite [1]	issue [12]	64/1 66/9	144/21	51/22 51/23	118/10 129/3
34/19	15/3 18/10	68/16 69/18	IV [8] 91/13	52/20 60/19	133/11 136/18
invited [1]	53/1 64/21	70/4 72/4 73/7	91/21 92/10	61/5 63/10	137/23
12/15	66/24 68/1	73/21 77/14	92/22 93/2	65/11 67/12	kept [1]
involve [1]	72/22 72/25	78/2 81/7 81/8	94/2 95/19	69/13 70/16	140/10
84/5	80/18 86/10	81/19 83/1	95/21	70/18 71/6	keV [1] 26/10
involved [17]	100/14 103/14	83/6 84/23		79/15 79/17	Kevin [5]
6/20 7/14	issued [7]	85/14 85/20		79/24 80/6	13/25 19/2

K	12/14 13/25	138/3 141/3	large [10]	124/1 131/5	88/24
Kevin... [3]	15/24 16/4	142/15 142/16	1/20 27/5 27/8	133/11 135/4	legitimate [1]
47/19 76/9	16/5 16/13	142/19 142/24	34/23 35/6	136/23 154/14	131/2
82/21	18/5 18/12	145/9 147/2	36/3 40/1	lay [4] 149/23	less [8] 22/24
key [1] 84/8	35/22 35/23	147/19 147/24	82/20 105/4	150/23 151/10	23/8 23/9
kicked [1]	35/25 37/16	151/21 152/7	105/7	154/8	32/18 35/25
14/10	37/18 40/2	152/9 152/10	largely [1]	layer [1]	37/22 37/25
kidding [1]	40/3 40/13	152/11 153/25	21/5	146/3	59/13
156/21	43/13 46/10	154/19 154/20	larger [1]	leaching [1]	let [29] 13/24
Kimchy [2]	47/6 47/10	154/25 158/15	27/3	31/7	37/11 51/22
2/14 19/15	47/14 53/5	158/22 160/1	Larry [1]	lead [2]	67/6 67/12
kind [42] 7/6	53/12 54/3	knowledge	100/25	100/9 100/15	68/10 69/1
9/25 13/19	54/12 54/15	[3] 7/7 81/2	Larry's [1]	leader [1]	71/3 71/18
36/2 39/14	54/18 55/19	87/17	101/1	76/9	78/25 79/17
52/13 52/17	58/14 58/17	knowledgeabl	laser [1] 4/10	leading [1]	82/9 83/20
56/16 63/15	58/21 58/24	e [1] 47/21	last [27] 6/21	20/4	85/10 99/3
63/21 65/3	59/25 60/3	known [1]	6/21 12/9 37/1	leak [4]	109/23 110/9
65/12 65/20	64/1 65/12	87/18	64/7 81/4	30/21 30/23	117/12 130/4
71/22 73/19	65/13 65/23	knows [1]	85/14 100/6	31/5 31/9	130/6 133/3
89/4 89/6 93/6	67/18 68/21	134/16	100/24 101/1	leakage [4]	133/19 134/12
101/22 111/25	70/13 71/21	kudos [1]	102/14 104/10	80/7 92/9	136/7 142/15
112/21 114/8	72/5 73/2 73/2	99/18	104/22 105/9	92/11 93/20	152/10 154/25
115/4 118/23	74/12 75/14	Kunder [1]	106/2 106/19	lean [1] 68/9	158/22 160/12
119/19 121/5	80/4 82/24	76/9	123/14 123/15	learning [2]	let's [17]
124/19 125/2	85/19 86/15	kVp [1]	123/25 130/1	120/2 123/21	23/9 68/18
125/13 126/19	86/18 87/12	108/14	132/9 133/14	least [9] 14/3	73/6 73/9
128/1 128/20	87/24 89/6	L	138/16 149/8	45/15 57/16	74/19 104/20
131/16 133/10	89/11 89/25	lab [4] 9/18	150/17 157/2	74/2 81/21	116/10 116/11
135/17 136/5	90/6 91/7	12/4 17/22	157/17	116/13 118/22	119/8 125/15
136/19 137/15	91/10 91/12	39/18	lastly [1]	140/21 154/2	125/15 125/16
137/16 139/8	92/12 92/22	labeled [1]	77/22	leave [3]	130/5 130/13
156/2 156/11	93/4 95/17	29/3	later [7] 7/8	44/7 44/16	135/9 143/4
kinds [6]	96/15 99/3	labeling [2]	11/17 24/3	52/20	151/5
96/17 118/4	100/14 102/8	13/6 13/10	32/14 74/21	leaves [1]	letter [8]
132/1 134/2	105/2 105/5	labels [1]	88/8 121/17	102/10	72/1 72/21
138/13 139/9	106/16 108/16	127/13	laughter [7]	leaving [3]	80/16 103/15
King [1]	108/23 109/23	laboratory [2]	6/3 39/9 97/14	30/6 44/13	103/15 103/21
18/24	109/24 110/3	20/8 32/1	117/25 124/3	101/18	107/13 153/2
kit [4] 30/11	110/9 111/19	labs [2] 17/10	142/5 142/14	left [10]	level [8]
38/25 39/2	111/22 113/11	114/19	laundry [1]	27/18 27/18	15/14 37/16
61/1	113/12 113/25	lack [1] 50/18	130/21	27/20 28/7	81/1 82/1 82/1
knee [1]	114/2 114/6	lady [1]	law [4] 100/7	101/2 101/5	82/5 88/15
53/18	114/7 115/7	79/19	125/10 125/11	128/25 133/7	103/1
knew [1]	115/12 115/15	Laguna [2]	125/12	138/20 156/2	levels [4]
152/13	118/13 119/22	12/7 13/3	lawful [1]	legal [2]	18/11 86/2
knocked [2]	120/17 121/13	landing [1]	131/2	131/17 137/1	104/1 114/3
62/8 62/8	124/25 124/25	96/6	lawn [1]	legally [2]	library [1]
know [137]	127/4 127/8	language [6]	66/13	14/8 103/6	110/21
7/12 7/15 7/20	127/25 128/3	79/16 79/23	lawsuit [2]	legislature	license [24]
8/4 9/15 9/24	128/24 129/5	83/1 84/3	100/12 100/16	[2] 125/9	31/20 43/1
10/14 11/4	131/22 132/7	107/22 153/5	lawyers [8]	125/10	47/6 47/15
11/21 11/22	133/14 137/16		120/4 120/11	legit [1]	57/17 64/21

L	140/12 140/14	115/22 119/22	47/15 56/24	5/25 22/5 23/6	155/13
license... [18]	like [136] 4/5	120/21 121/12	83/13	24/21 38/2	lots [4] 8/2
70/7 70/14	7/25 10/24	122/25 125/2	listen [1]	58/3 90/1 90/2	77/25 129/7
71/2 71/3 71/4	11/8 13/18	126/19 126/22	82/24	108/13 108/20	132/1
71/23 72/4	13/20 14/18	127/9 128/4	lists [1] 154/6	108/24 109/19	love [3] 91/6
72/4 72/15	14/23 15/16	128/21 129/15	literally [2]	110/5 114/1	92/11 131/5
72/18 76/11	16/3 16/8	129/16 132/17	42/17 116/20	119/3 133/2	loved [1]
77/2 132/21	17/15 19/23	132/18 134/21	little [25]	141/5 141/14	120/5
135/7 136/1	20/23 22/1	136/9 137/21	35/8 44/6	142/18 147/18	low [11]
136/3 137/6	28/17 38/21	138/7 139/18	60/16 60/20	154/14 155/9	21/11 22/18
139/8	38/23 40/13	140/7 143/3	65/4 70/8 70/8	155/25 160/12	22/18 26/20
licensed [13]	40/14 41/3	143/18 145/15	71/5 77/5 77/8	looked [3]	31/15 37/18
5/12 33/14	43/7 43/9	146/7 147/12	79/7 83/5 89/3	57/24 105/11	38/5 40/13
34/9 34/16	43/13 43/16	150/23 151/9	95/19 107/22	110/25	40/17 45/11
37/2 37/7 37/8	44/4 46/2 46/5	153/19 154/22	114/6 117/14	looking [19]	61/3
64/10 66/19	48/9 48/9	154/24 155/20	118/18 121/5	22/14 26/20	low-dose [2]
103/9 131/15	49/16 49/22	155/21	123/5 126/20	35/16 37/5	21/11 31/15
151/3 153/9	51/4 51/9	likelihood [1]	130/8 138/4	40/20 41/17	lower [1]
licensee [15]	51/21 51/23	92/24	143/19 157/1	42/4 56/2	94/11
15/6 16/15	52/9 52/13	likely [3]	live [1] 110/1	61/11 79/13	Ltd [1] 2/14
29/23 30/14	53/2 53/4	77/4 93/15	LNT [1]	106/21 106/22	lucky [1]
30/16 33/10	55/13 55/22	95/19	142/20	107/25 108/6	109/11
33/13 33/16	56/1 59/5	likes [1]	loaded [1]	108/23 110/10	Luis [1]
33/17 33/22	59/25 60/4	153/21	26/4	111/10 113/4	150/19
36/24 37/10	60/10 61/15	limit [1] 31/6	loaned [1]	156/19	lunch [6]
43/4 45/16	61/17 63/2	limitation [2]	10/3	looks [4]	49/19 71/13
84/23	63/16 63/19	119/15 119/16	loaning [1]	27/16 28/8	83/6 83/20
licensee's [2]	63/21 64/23	limited [1]	9/22	41/19 56/6	97/18 148/16
30/5 50/11	65/4 68/22	47/2	local [1] 7/13	loss [5] 33/10	lunches [1]
licensees [1]	68/24 70/3	limits [1]	located [1]	33/12 33/14	49/24
109/23	72/21 72/25	47/6	160/6	33/16 33/23	lunchtime [2]
licenses [11]	73/17 73/19	line [4] 91/6	logical [1]	losses [1]	71/17 97/17
46/4 59/8	74/1 74/7	143/21 147/22	124/22	33/18	lung [2]
64/10 72/19	81/22 82/17	153/21	logistics [2]	lost [5] 7/6	104/13 104/13
76/19 76/22	85/19 85/19	lined [1]	41/6 41/13	38/15 38/16	Lutetium [2]
76/23 117/6	85/21 86/21	140/22	lone [1]	38/24 39/4	87/2 88/20
139/7 152/3	86/24 87/12	lining [1]	149/24	lot [32] 21/7	
153/18	89/4 90/16	40/16	long [14]	47/13 51/20	M
licensing [9]	90/22 91/21	link [1] 98/18	14/15 14/19	53/14 59/13	M's [1] 118/1
8/22 8/25 9/8	91/25 92/8	links [2] 96/4	15/12 42/16	59/22 61/20	M.D [3] 2/2
12/14 56/14	92/9 93/2 93/8	144/10	45/6 45/9	63/20 64/15	2/2 2/5
76/8 76/13	93/9 95/6	liquid [1]	56/18 89/9	65/9 67/25	M.Ed [1] 2/6
76/25 116/22	95/21 97/9	87/3	100/25 117/10	68/15 73/19	machine [8]
licensure [1]	97/11 102/17	Lisa [3] 99/18	127/4 127/6	75/19 78/25	13/6 53/17
103/1	105/15 106/7	102/3 106/13	130/21 154/4	83/7 93/11	99/8 99/17
lied [1]	108/14 109/15	list [10]	longer [5]	97/3 109/5	103/5 116/3
132/21	109/16 109/18	65/20 89/25	14/7 72/18	117/20 118/16	149/11 151/2
life [6] 21/16	110/1 110/14	123/20 130/21	101/23 128/10	125/6 125/9	machinery
26/8 37/17	111/19 111/21	136/16 137/17	137/22	126/19 129/13	[1] 114/7
106/12 129/15	114/8 114/9	149/25 153/11	longest [1]	129/23 134/19	machines [7]
147/6	114/15 115/3	153/13 153/14	43/8	147/13 147/17	13/8 13/9
light [3] 5/23	115/3 115/6	listed [3]	look [24]	149/15 155/12	102/6 103/25

M	17/11	marketing [2]	57/8 58/21	53/5 53/6	55/4 63/9
machines...	managed [1]	101/23 131/12	73/4 104/25	54/12 55/18	67/13 76/21
[3] 104/4	29/17	marketplace	109/8 117/16	57/5 58/15	76/23 77/10
104/6 108/15	management	[1] 119/20	119/9 127/13	59/1 59/7 59/9	77/13 78/4
made [9]	[4] 5/10 12/14	Martin [1]	127/14 130/7	59/10 60/2	78/14 80/21
11/11 11/11	19/22 129/2	106/13	134/15 134/15	60/4 61/4 61/7	82/2 82/7 84/1
18/5 28/13	mandatory	Mary [1]	136/1 159/7	61/13 61/15	84/6 84/13
72/22 80/15	[1] 132/16	101/2	Mayo [5]	70/3 81/6	89/5 93/23
98/23 116/19	manufacture	masks [1]	4/12 36/19	81/15 85/18	98/3 100/21
119/13	[1] 45/9	4/4	37/4 38/17	86/4 87/17	102/15 103/2
mail [6]	manufactured	match [3]	77/16	92/12 93/4	103/9 103/14
17/12 96/25	[1] 29/3	72/23 72/24	McFadden [3]	93/15 98/9	104/10 105/7
97/3 97/5	manufacturer	72/25	2/4 5/16 5/17	98/24 115/21	105/9 105/16
155/1 160/15	[14] 18/4	material [5]	mCi [6] 26/9	115/24 120/6	105/18 105/22
main [2]	30/12 30/25	30/15 31/9	26/10 26/12	120/8 120/11	108/5 110/7
71/21 156/14	31/4 32/6	33/15 33/17	37/3 37/4	122/8 140/19	131/3 135/2
Mainly [1]	32/11 34/9	76/7	115/15	143/4 143/21	135/2 141/21
113/3	34/16 37/7	materials [8]	MD [1] 58/14	144/3 145/16	141/22
maintaining	37/10 44/16	8/21 8/22 9/21	me [52] 4/7	156/7	medically [2]
[2] 30/14	47/9 50/12	12/13 31/20	8/20 11/13	means [8]	86/20 141/23
140/8	81/18	32/7 75/23	11/15 13/24	25/25 46/10	medicals [2]
maintains [2]	manufacturer	108/5	17/12 34/24	58/14 61/20	36/25 77/16
30/17 31/2	's [1] 32/12	math [2]	35/22 36/21	65/7 124/5	medicine [14]
make [22]	manufacturin	104/9 138/3	37/11 48/1	124/18 132/21	4/14 5/20
8/25 35/9	g [2] 30/22	mathematics	49/23 50/17	meant [1]	58/13 63/3
35/16 35/19	101/21	[1] 19/16	51/21 52/14	107/24	77/10 78/19
57/17 64/18	many [30]	Matt [2]	63/13 64/9	measure [9]	81/3 87/11
67/5 82/22	5/6 5/8 34/18	154/10 154/13	67/6 67/12	80/13 86/15	98/13 107/6
85/25 89/14	38/14 38/19	matter [6]	75/6 78/18	86/18 89/18	108/6 114/21
95/14 96/16	46/13 56/23	87/1 89/17	78/25 79/17	93/3 93/11	116/1 125/21
109/24 117/15	59/25 74/8	91/22 92/7	82/9 83/20	100/8 115/8	meet [6]
117/16 121/11	96/20 96/21	111/22 121/24	85/10 91/7	115/8	89/10 103/3
123/7 124/13	103/25 108/20	matters [1]	97/12 106/12	measurement	103/13 103/17
131/8 139/21	109/7 109/17	150/12	106/15 117/12	[1] 109/1	126/23 152/20
156/17 158/16	114/2 117/12	Matthew [1]	119/7 123/4	measurement	meeting [24]
make it [1]	118/13 120/21	151/1	124/5 130/4	s [5] 27/24	4/5 6/5 6/21
89/14	121/1 129/18	maximum [3]	130/6 131/6	39/21 107/24	12/10 13/2
makes [6]	129/18 133/4	26/8 45/8	133/3 133/19	108/8 110/23	17/13 32/20
35/9 94/10	134/12 138/12	137/14	134/12 136/7	mechanism	48/5 64/7
129/13 130/7	142/24 143/6	may [18]	142/15 144/15	[2] 40/2	73/20 79/1
131/3 132/24	145/3 152/4	1/16 11/4 13/2	148/15 148/17	127/23	79/11 82/23
making [10]	155/14	31/20 33/18	148/17 149/3	mechanisms	87/8 96/2
78/1 79/3	maps [1]	46/24 48/1	153/13 155/1	[1] 40/5	104/10 104/22
79/21 80/19	25/14	51/25 53/22	157/24 158/4	medical [52]	106/3 106/19
82/25 94/7	March [2]	54/14 78/10	160/12	2/15 19/23	106/20 126/23
96/10 96/14	9/10 107/11	78/10 79/12	meal [2]	20/2 20/11	154/5 156/16
116/14 132/3	mark [5] 10/6	96/5 109/8	23/21 23/22	24/11 26/19	160/19
malicious [1]	21/16 149/11	115/5 154/17	mean [47]	29/2 32/10	meetings [4]
10/10	150/15 151/4	160/3	11/4 15/3	32/13 32/20	7/3 118/22
mammograph	market [3]	maybe [18]	15/16 30/8	32/23 33/19	151/20 151/23
y [1] 103/5	21/18 46/7	35/3 35/13	41/10 45/6	34/15 36/24	meets [2]
manage [1]	113/8	43/11 46/1	47/13 50/9	47/3 52/17	38/10 84/13

M	100/13 100/13 100/14	Miss [1] 100/1	more [29] 16/10 22/12 35/11 38/25 39/3 46/5 46/8 46/21 50/17 64/4 64/8 68/8 71/21 72/23 77/5 84/2 84/21 96/14 109/4 109/8 109/12 121/6 127/19 129/13 130/8 135/5 139/7 149/7 155/18	MQA [6] 50/4 50/4 63/20 73/18 131/11 139/23	35/14
Melissa [2] 106/13 128/23	middle [5] 8/18 16/7 27/21 28/6 106/13	missed [1] 142/2		MQSA [8] 102/15 102/20 102/25 103/2 103/14 103/17 103/17 103/24	my [30] 4/18 15/1 15/24 19/10 19/14 19/15 19/21 19/25 20/7 36/18 58/8 68/8 71/15 72/3 81/2 87/17 99/15 104/3 116/14 119/4 119/7 124/6 128/17 140/1 142/1 142/23 147/24 147/25 149/6 161/8
member [4] 12/22 33/1 33/8 150/25	might [14] 8/16 22/7 23/15 24/9 24/14 27/6 39/12 47/17 49/18 83/5 117/17 117/17 142/16 147/9	missing [1] 33/14		mR [2] 13/3 108/14	
members [4] 2/1 80/17 106/18 150/1	mike [2] 66/6 68/8	misspelling [1] 119/4		Mr. [2] 7/1 79/22	
mental [2] 67/20 131/24	milestones [1] 106/20	mistake [1] 119/13		Mr. DiMarco [1] 79/22	
mentioned [9] 28/19 30/7 32/19 36/20 39/22 73/14 73/20 128/16 151/4	military [1] 7/16	mits [1] 41/11	morning [8] 4/13 4/18 5/13 5/16 19/14 19/20 76/6 150/7	Mr. Futch [1] 7/1	
mess [1] 135/3	Miller [3] 13/4 13/5 106/11	mixed [1] 25/6	most [21] 23/7 26/10 30/13 32/16 33/7 36/6 40/7 45/13 64/17 64/20 69/5 77/3 77/9 93/15 95/19 107/22 116/15 130/10 133/7 152/1 156/9	MRI [1] 24/11	N
messes [2] 53/16 53/20	millimeter [1] 28/5	mixing [1] 35/8	Ms [2] 59/11 158/20	MS [2] 2/3 2/6	N43.17 [1] 141/15
met [3] 17/13 107/11 125/23	millimeters [5] 25/25 27/2 27/3 27/5 28/4	mixture [1] 128/20	Mm [2] 59/11 158/20	mSv [2] 32/19 33/9	nail [1] 127/23
metal [2] 31/12 144/16	million [1] 102/10	Mm-hmm [2] 59/11 158/20	mobile [1] 77/9	much [23] 14/6 39/5 46/5 48/17 50/16 50/20 68/8 71/20 75/17 92/24 93/3 94/23 111/8 111/21 112/14 113/2 115/8 126/24 126/25 127/2 129/7 129/16 144/22	name [10] 4/19 13/24 14/25 19/15 19/25 49/22 60/18 106/9 107/7 150/13
meters [1] 108/12	mind [1] 33/15	modify [1] 132/7	modalities [1] 26/22	named [1] 31/20	names [3] 106/12 106/16 133/7
method [2] 21/2 147/2	mine [1] 68/17	moisture [1] 8/2	modalities [1] 26/22	nasty [1] 51/10	national [23] 9/11 12/21 13/2 15/14 17/6 17/22 18/20 20/8 66/4 66/22 69/7 104/23 109/13 110/8 110/11 111/3 116/21 117/1 117/4 121/9 125/20 132/17 159/1
methodology [1] 106/7	minimize [1] 84/22	mom [1] 160/17	modalities [1] 26/22	Muller [1] 20/4	natural [1] 93/20
methods [2] 84/1 146/23	minimum [2] 32/1 137/14	moment [4] 40/10 41/1 133/5 133/18	modalities [1] 26/22	multiple [6] 7/3 87/11 98/14 108/17 138/6 156/5	naturally [3]
Meyer [3] 1/19 161/5 161/19	Minnesota [1] 126/24	Monday [1] 11/9	modalities [1] 26/22	mundane [1] 134/7	
mGy [2] 37/23 37/25	minor [1] 43/15	money [4] 10/21 11/13 62/2 73/25	modalities [1] 26/22	municipal [1] 9/13	
mGys [2] 40/20 40/21	minute [1] 120/20	monitor [2] 29/13 81/25	modalities [1] 26/22	muscle [1] 82/6	
Miami [9] 5/3 5/15 9/17 10/16 10/20 12/5 14/3 129/16 129/17	minutes [7] 6/5 38/3 40/7 48/5 76/2 127/4 127/7	monitors [1] 23/25	modalities [1] 26/22	must [7] 32/6 33/10 61/20 84/4 84/12 121/9 147/1	
Michigan [3]	misadministr ation [1] 135/20	months [8] 8/3 14/14 14/18 15/17 72/4 111/16 111/16 156/18	modalities [1] 26/22	mute [1]	

N	82/4 89/12	48/14 50/14	non-radio [1]	95/1 95/10	notified [1]
naturally...	102/13 119/3	51/5 52/9	94/12	95/14 95/15	16/18
[3] 22/18	119/14 119/15	52/16 53/14	none [2]	95/21 95/23	notify [1]
23/23 30/9	150/24	56/15 56/15	113/21 146/22	95/23 98/6	18/6
nature [2]	new [14]	56/15 64/4	Nope [1] 63/1	98/24 100/17	November [5]
130/4 132/22	38/18 39/2	67/1 67/9	normal [3]	104/2 105/12	11/3 100/6
NCE [1]	39/13 42/9	67/10 68/13	23/24 24/16	107/19 108/15	101/6 106/2
137/16	50/5 74/2 74/2	69/1 69/21	132/11	108/20 114/12	156/20
NCO [1]	74/6 84/8	70/19 71/9	Northwest	114/12 114/22	now [65]
131/10	95/22 101/18	72/18 72/19	[1] 20/8	116/3 117/12	11/22 12/19
NCRP [7]	104/15 107/12	80/2 80/5 80/6	not [155]	117/25 119/9	18/1 20/3
104/25 105/17	138/2	85/24 86/3	11/22 14/24	120/25 127/21	23/13 24/18
106/18 106/18	newer [1]	87/18 90/6	16/23 16/24	129/6 129/16	35/1 36/4 36/4
111/5 111/9	79/25	90/8 90/24	17/9 18/7 18/7	130/19 131/8	36/19 36/21
111/14	newest [1]	91/15 91/17	21/13 24/5	131/13 134/6	38/17 38/21
NCRPs [1]	63/24	91/17 91/18	24/13 26/2	134/19 135/2	39/1 41/16
105/21	next [35]	91/19 91/20	26/3 29/22	135/5 136/2	42/21 50/8
NCT [1]	6/17 19/7 21/4	91/22 92/23	29/23 31/8	137/5 139/8	53/19 59/6
108/19	21/19 22/10	93/3 93/5	31/12 31/16	140/8 140/9	61/16 63/7
near [2] 24/7	23/12 23/18	93/14 94/10	31/22 32/25	140/13 141/10	66/12 70/14
80/14	24/4 24/25	95/2 95/3 97/8	33/8 33/22	141/12 141/18	77/16 78/8
NEC [2] 110/3	25/19 26/6	98/22 99/22	33/23 34/2	143/19 144/11	83/1 86/6
110/4	26/17 26/23	100/20 101/23	34/6 35/4	145/13 146/20	86/25 87/3
necessarily	27/15 28/2	110/2 112/17	35/11 35/12	148/16 149/18	87/4 89/5
[3] 10/17	28/10 29/9	118/10 120/11	43/4 43/7	149/20 151/19	96/15 99/4
53/21 98/20	30/1 30/20	124/4 125/4	44/14 44/16	151/20 152/14	99/23 101/3
necessary [3]	32/4 34/3	126/16 127/11	45/15 47/19	152/16 152/20	101/5 101/25
34/20 86/20	35/19 79/3	135/10 146/7	47/19 50/6	152/25 153/1	102/19 104/20
119/15	79/12 79/18	152/8 159/21	51/21 51/22	153/3 153/6	105/1 115/22
neck [1]	82/23 85/7	nobody [3]	51/24 52/9	153/14 153/19	116/9 119/23
147/25	87/8 96/11	108/4 118/1	52/24 53/21	153/21 154/16	119/25 120/4
need [19] 6/4	105/21 125/16	124/1	54/14 55/14	157/6 158/4	120/23 120/23
20/24 36/15	147/21 150/20	nominate [2]	56/23 56/24	158/21 158/24	121/9 124/8
46/13 54/3	154/4 156/15	154/22 154/24	57/2 57/3	159/11 160/8	124/18 125/1
65/16 69/2	NextEra [1]	nominated	58/18 59/8	160/10 160/11	129/22 130/13
69/3 70/16	5/24	[2] 152/19	61/18 62/24	161/10	140/11 148/18
71/12 80/17	nexus [2]	152/20	66/9 66/10	Notary [1]	149/4 149/7
97/19 113/6	132/25 135/11	nominee [3]	66/21 67/3	1/20	149/10 149/12
121/15 146/13	nice [1] 56/9	149/20 150/17	68/10 69/13	note [1] 6/20	150/4 150/5
148/2 157/5	Nicholas [2]	153/4	69/13 71/10	noted [1] 9/4	150/7 153/15
158/25 159/13	2/2 4/13	nominees [2]	76/18 77/14	notes [6]	155/13 159/18
needed [2]	night [1] 8/18	149/16 153/24	78/3 78/10	37/5 50/8	nowadays [2]
7/5 144/23	no [80] 6/14	non [5] 55/4	78/13 78/13	99/15 99/16	55/18 60/3
needs [2]	6/15 9/3 11/15	94/12 100/21	80/5 81/7	99/17 161/9	NRC [24] 8/6
41/24 94/13	11/23 13/8	131/11 139/24	81/23 82/3	nothing [8]	8/21 8/23 10/9
negative [2]	14/7 16/25	non-certified	82/6 82/7 85/2	9/3 23/7 43/12	10/10 13/18
36/11 41/21	21/23 22/6	[1] 131/11	85/5 85/22	57/6 80/8 81/3	13/22 18/14
Neighbor [1]	26/3 26/5	non-medical	85/23 86/2	99/23 105/11	18/17 33/25
159/1	30/21 31/15	[2] 55/4	86/20 87/9	notice [2]	50/14 50/15
Nesmith [1]	34/5 37/3	100/21	87/20 89/10	115/24 117/22	77/23 78/3
118/10	38/11 38/12	non-Rad [1]	91/12 93/25	notification	79/1 80/17
never [7]	43/13 44/23	139/24	94/23 94/25	[1] 14/22	83/11 93/22

N	objectives [1]	9/17 11/18	144/19 148/9	104/11 105/25	121/15 122/1
NRC... [6]	123/21	126/24	148/12 151/13	115/20 116/5	122/1 122/12
96/3 96/5	obvious [1]	official [1]	157/14 158/10	120/4 120/16	138/4 157/4
96/10 96/18	147/23	160/14	158/14 159/19	120/25 121/2	open [14]
98/2 105/19	obviously [8]	officially [1]	159/22 160/18	121/16 121/25	26/1 40/24
NRCs [1]	22/11 26/13	15/7	old [2] 135/9	123/2 123/25	54/20 64/15
20/10	36/15 43/13	officials [1]	159/20	126/13 126/22	84/11 129/18
nuclear [17]	74/8 74/9	12/11	older [3]	129/2 130/5	133/5 136/14
4/14 5/20 6/25	93/10 115/25	offset [1]	23/14 113/16	131/3 131/5	137/19 138/1
7/9 44/5 67/24	occur [2]	144/8	113/20	132/8 132/15	138/5 138/11
77/6 77/10	33/19 84/4	offsite [1]	oldest [1]	132/19 132/19	149/21 159/18
81/3 87/11	occurrence	144/20	113/19	132/25 133/5	opened [3]
91/10 92/25	[1] 33/14	oh [18] 6/9	on-site [1]	133/20 133/21	133/9 133/15
98/13 107/6	occurs [1]	11/13 11/15	32/8	133/21 133/22	138/2
108/6 114/21	72/25	52/9 61/2 66/7	once [13]	135/5 136/7	opens [1]
115/25	October [6]	66/10 75/24	7/23 24/2	136/7 137/5	39/23
nuclei [1]	11/3 156/25	86/12 90/24	24/22 27/17	137/12 137/16	operate [1]
90/5	157/3 158/25	91/5 96/23	51/8 65/20	137/18 138/17	141/17
nuclide [1]	159/13 159/16	119/3 119/4	84/15 105/17	138/17 139/22	Operated [1]
32/2	OD [1] 58/14	121/21 124/6	107/9 109/10	140/17 141/2	17/21
null [1] 136/2	odd [1] 14/18	142/12 146/11	123/11 126/23	142/20 142/25	operating [2]
number [23]	of them [1]	Ohio [2]	149/1	143/1 143/11	108/16 109/7
28/15 37/13	125/7	37/14 38/9	oncologist [1]	143/21 149/9	operations
37/22 46/15	off [18] 7/11	oil [1] 10/13	4/12	149/19 150/15	[1] 5/9
64/6 64/10	8/6 15/24	okay [66] 6/4	one [120]	150/17 151/2	operator [5]
68/2 73/16	24/14 32/17	6/9 6/15 6/19	4/14 8/14 10/8	151/4 151/11	131/11 138/14
76/19 82/21	38/10 42/4	17/18 17/20	15/11 17/25	151/14 151/23	149/11 151/3
90/2 91/12	48/21 58/16	18/15 19/5	18/1 20/14	152/1 152/7	151/25
104/4 108/25	103/6 103/10	19/7 19/12	22/9 22/16	152/8 152/14	operators [5]
109/15 111/20	103/12 104/3	20/15 21/5	22/23 22/24	156/13 156/24	131/10 134/13
114/10 119/17	114/7 117/17	35/15 38/4	23/22 24/6	156/24 157/19	134/19 139/1
121/3 122/9	119/9 138/4	48/13 48/14	25/5 28/3 33/9	158/24 160/2	155/14
138/8 138/8	147/24	49/15 63/7	36/23 38/17	ones [14]	opinion [2]
160/12	offense [3]	63/19 65/24	38/17 41/16	16/4 27/6 27/9	47/20 139/25
numbered [1]	130/20 137/14	66/12 66/18	43/18 45/16	55/11 63/24	opposed [3]
104/6	137/15	72/8 75/20	48/14 50/2	112/22 113/19	6/13 83/18
numbers [10]	offenses [1]	75/25 76/3	51/5 53/2	126/17 135/21	118/6
76/12 91/16	130/22	77/5 78/24	53/10 53/10	138/14 138/20	optical [1]
92/11 104/3	offer [2]	89/25 94/14	57/16 62/15	138/22 150/23	21/7
113/5 115/22	76/18 103/18	97/15 97/16	62/21 64/17	154/8	optics [2]
121/4 121/20	offered [3]	97/21 99/4	66/15 66/17	online [1]	19/21 41/18
133/7 155/17	11/21 107/17	99/9 99/12	67/14 69/20	155/3	optimal [1]
nurse [2]	147/13	99/17 99/25	69/22 73/20	only [25]	68/3
154/12 154/21	office [10]	104/20 106/8	77/9 77/9	16/4 23/1	option [4]
O	9/18 10/23	110/12 110/18	77/16 78/6	36/24 43/15	11/21 67/8
o'clock [1]	11/16 11/20	112/20 116/9	81/14 87/5	52/25 55/11	85/16 85/19
83/2	63/16 63/21	117/18 119/6	91/6 94/16	55/13 64/14	options [2]
Oak [3] 17/10	65/16 129/1	122/11 122/18	95/22 99/18	66/3 66/19	83/13 83/15
17/10 17/21	160/1 160/7	127/1 127/3	99/18 101/7	67/2 72/22	oral [1] 86/25
object [2]	officer [1]	127/10 130/14	101/11 101/17	74/22 87/5	ORANGE [1]
39/23 66/23	4/10	136/12 139/16	102/18 102/23	87/13 88/23	161/3
	offices [3]	142/18 143/12	103/12 104/10	93/1 95/4 95/5	order [7]

O	147/17 148/3	40/6 43/10	130/4 138/1	paint [1] 7/16	110/6 120/7
order... [7]	149/6 149/18	43/25 49/8	138/17 140/4	palliative [1]	131/6 144/10
41/23 46/4	150/23 151/11	52/18 53/8	159/20	104/11	particularly
46/6 49/23	151/14 156/8	53/13 53/18	overall [1]	Palm [3] 7/10	[1] 105/12
121/9 134/20	others [3]	55/18 60/3	147/1	7/10 72/16	parties [4]
137/2	31/22 142/19	62/8 62/8	overdrive [1]	paper [2]	81/22 106/3
organization	148/24	65/12 70/9	14/10	98/15 117/14	161/11 161/12
[3] 12/21	Otherwise [1]	72/6 77/1 79/4	overread [4]	papers [2]	partners [1]
132/17 132/17	103/23	86/8 86/13	43/3 47/9	98/20 101/14	107/14
organizations	ought [1]	86/14 91/7	55/12 56/19	paperwork	parts [1]
[2] 110/8	128/13	93/9 93/25	overreading	[2] 103/20	73/19
117/7	our [62] 7/11	97/5 98/15	[1] 59/3	125/25	party [2]
organs [1]	8/19 8/21 9/2	102/7 107/11	overseas [1]	paragraph [3]	37/13 98/19
148/6	9/16 9/18 9/18	107/14 108/14	101/8	93/24 127/8	pass [5] 6/15
originally [1]	11/10 11/17	111/4 115/23	oversees [1]	131/25	28/11 49/23
10/24	12/7 14/13	117/24 118/14	31/19	paramedics	119/18 119/22
Orlando [7]	14/20 19/7	119/21 120/5	owed [2]	[1] 67/20	passage [1]
5/18 8/5 9/14	20/14 24/8	120/24 122/13	10/21 11/13	parameters	67/4
9/17 9/18 12/4	26/15 35/4	122/21 125/24	own [3] 45/1	[1] 83/14	passed [3]
12/5	35/10 37/13	127/2 127/6	121/2 132/6	Park [1] 1/11	8/6 141/1
ORNL [1]	42/9 42/21	128/6 130/4	P	Parkinson's	141/1
17/21	42/22 45/22	130/5 138/3	p.m [4] 1/17	[1] 95/7	passes [3]
orphaned [1]	48/6 49/24	140/10 140/17	97/23 97/24	part [40]	34/14 69/14
44/17	63/16 63/18	141/9 146/4	160/20	9/15 14/24	69/15
other [66]	63/25 64/1	147/25 149/1	PA [2] 58/24	16/10 16/11	passing [3]
5/7 8/9 8/11	64/2 75/18	152/24 153/3	154/21	18/6 18/11	36/2 43/7
8/13 8/24 9/13	76/8 76/22	154/5 155/23	Pacific [1]	20/20 21/10	69/15
13/9 14/23	87/10 95/6	157/10 158/18	20/8	21/17 23/4	past [4] 8/3
15/7 18/15	96/9 96/9 98/9	159/23 160/9	package [6]	35/4 42/7	102/5 110/18
22/9 24/6 25/7	98/19 98/21	outcome [1]	38/9 38/11	46/23 46/23	138/1
26/19 26/21	99/18 100/20	161/14	150/5 150/10	56/24 57/3	paste [1]
26/21 34/18	101/16 101/17	outcomes [1]	150/20 156/14	58/12 70/17	35/9
46/20 48/22	108/11 108/12	106/19	packaged [1]	72/20 81/20	patient [46]
53/6 59/7 62/5	109/9 111/12	outlier [1]	29/3	100/4 113/8	21/24 22/3
69/8 80/14	111/20 111/23	43/10	packets [3]	116/14 117/2	22/13 22/21
94/1 99/16	114/9 114/11	outpatient	83/12 98/21	119/14 120/2	23/10 23/17
101/11 101/11	115/13 117/3	[3] 76/21	149/25	121/6 123/14	24/21 26/5
106/15 108/22	119/11 121/6	77/10 113/17	page [7] 3/2	123/15 126/18	26/11 26/18
109/2 109/16	128/23 129/1	outside [3]	74/2 85/13	128/15 131/19	26/21 30/4
111/3 115/1	137/12 141/2	11/19 53/9	85/14 85/17	141/1 141/2	30/5 30/10
116/7 116/22	150/21 154/4	66/13	96/6 125/16	143/23 146/25	31/17 32/18
117/3 119/16	ours [2]	outweighs [1]	pages [2]	149/6 154/24	32/22 32/24
123/3 123/22	20/15 130/12	141/24	116/20 148/24	160/8 160/11	33/19 33/24
123/23 125/22	out [77] 7/14	over [22]	pages' [1]	participate	34/1 34/12
126/3 128/18	7/19 9/16 9/18	9/16 12/3 20/3	117/11	[2] 6/24 12/15	34/13 35/5
131/20 133/22	10/1 13/20	21/22 28/17	paid [5]	participating	35/10 37/6
134/2 136/13	14/7 15/15	30/7 48/16	11/15 72/15	[3] 29/11 30/3	37/11 38/9
136/20 138/14	17/9 17/21	49/24 63/9	73/21 102/9	47/23	39/2 39/17
140/24 142/2	18/17 18/22	68/15 73/3	102/11	particle [1]	41/20 42/7
142/21 143/1	22/3 23/16	75/11 96/11	pain [2]	100/4	43/8 44/4
144/8 144/8	24/22 26/15	96/13 102/8	43/16 94/13	particular [6]	44/18 46/12
146/22 147/10	38/19 39/16	105/2 106/5		79/7 79/8	55/18 56/21

P	143/14 144/9	74/8 74/10	phone [3]	8/12 8/17	25/18
patient... [8]	145/3 145/17	75/5 102/19	7/12 11/9	Pines [1]	polyps [16]
98/25 104/13	150/22 153/23	103/22 131/13	37/13	4/15	21/21 21/25
110/24 111/21	155/12 156/9	131/14 131/14	photo [2]	pivotal [2]	22/2 22/6 22/7
112/15 115/12	peoples' [3]	132/12 133/6	64/19 64/21	28/22 29/5	23/8 23/16
115/16 116/4	100/9 106/12	136/15 136/17	physical [3]	place [7]	27/1 27/3 27/4
patient's [3]	133/6	137/10 137/10	25/4 29/20	14/9 29/24	27/5 27/14
34/7 40/19	per [6] 54/13	147/21 147/25	131/24	79/2 79/12	28/3 29/7
41/17	76/25 77/1	150/18 150/23	physically [1]	82/23 85/12	62/13 62/22
patients [21]	105/14 127/7	150/24 152/13	33/1	96/24	pool [1] 47/2
23/7 23/9	127/8	152/16 152/20	physician	places [2]	poop [1] 51/1
23/15 26/9	percent [20]	153/5 155/3	[12] 23/6	80/14 145/16	poor [2]
27/1 27/12	22/6 22/6 22/8	155/12	28/8 31/24	plan [1]	128/3 128/3
27/13 30/16	22/12 23/9	person's [2]	41/19 41/21	142/24	popping [1]
30/18 35/2	27/2 27/4 27/6	15/7 41/5	42/3 139/24	planning [1]	140/10
35/4 35/12	27/14 76/22	personal [2]	151/15 152/7	7/4	popular [1]
36/6 38/21	76/24 91/11	118/6 147/11	154/10 154/11	Plaxton [3]	60/4
43/11 88/3	91/14 91/14	personally [2]	154/17	2/2 4/14 46/9	population
90/9 91/9	92/12 105/5	143/20 143/20	physicians [3]	play [1]	[3] 22/5 22/9
95/13 105/22	119/18 138/25	personnel [4]	4/14 4/15	53/22	105/24
114/21	139/1 139/5	69/17 76/10	61/16	plays [1] 62/2	portable [1]
pay [5] 45/4	percentage	128/16 129/24	physicist [12]	please [2]	77/3
59/23 73/23	[4] 27/13 80/4	persons [1]	103/2 103/2	21/4 154/25	portion [1]
129/7 135/1	102/12 138/23	151/10	103/8 103/8	pleasure [1]	114/11
pays [1]	percentages	pertain [1]	103/9 103/11	63/13	position [22]
62/15	[1] 139/2	66/4	103/15 103/20	plenty [2]	22/23 23/25
penalty [1]	perfect [3]	PET [4] 94/24	103/24 106/13	145/2 148/3	27/22 27/24
137/14	92/9 112/22	111/19 114/9	150/9 150/14	plugging [1]	32/17 40/4
people [55]	128/13	114/24	physicists [2]	113/5	63/23 76/15
7/17 15/8 21/7	perforation	Peterson [2]	102/24 108/24	plus [2]	98/15 98/20
23/13 38/22	[1] 51/20	2/5 4/11	physics [12]	116/12 123/18	119/7 128/23
39/1 39/11	Performance	petition [1]	2/15 5/20	Podiatrist [1]	129/3 140/16
42/25 44/14	[1] 8/22	79/21	19/15 19/17	150/18	140/22 149/12
46/13 46/20	performed	ph [7] 10/6	20/2 20/4 20/6	point [12]	149/20 149/22
53/15 54/14	[2] 33/5 94/8	78/2 78/5	47/25 102/25	11/8 14/10	149/23 150/16
54/17 55/1	performing	99/19 106/11	103/22 124/23	47/2 84/11	154/7 156/8
55/5 59/14	[1] 77/17	106/14 106/14	142/17	90/12 93/16	positioning
59/18 59/23	performs [1]	Ph.D [4] 2/6	pick [1] 12/6	93/24 93/24	[1] 128/3
59/25 61/20	30/25	19/17 101/10	picked [2]	94/6 109/11	positions [6]
62/7 74/12	period [4]	101/12	64/2 148/18	125/4 142/7	150/2 150/4
75/12 87/13	30/8 82/18	pharmaceutic	picture [3]	pointed [1]	154/1 154/7
87/13 92/14	96/20 137/22	al [2] 91/10	56/9 126/25	120/5	154/8 155/13
101/3 106/9	permanent	91/23	127/14	pointing [1]	positive [7]
106/15 107/25	[3] 4/24 72/12	pharmaceutic	picture's [1]	127/14	36/9 41/20
110/9 112/8	83/9	als [6] 90/25	127/20	points [3]	55/12 95/12
119/21 126/14	permit [1]	91/2 91/4 94/8	pictures [3]	96/21 126/22	95/12 98/12
126/15 128/19	34/6	94/12 94/18	127/12 127/13	139/21	130/25
129/16 131/9	permitted [1]	pharmacies	128/2	policies [1]	possibility [1]
134/5 139/6	34/5	[1] 77/6	piece [1]	126/1	86/3
139/8 140/6	person [30]	pharmacist	117/14	polyp [5]	possible [5]
141/5 141/14	15/9 43/2 50/4	[1] 91/10	pill [1] 35/24	21/6 21/14	23/6 28/14
142/24 143/6	52/12 53/1	phenomenas	pillaged [2]	22/12 23/11	33/1 41/18
		[1] 25/5			

P	105/20	61/15	34/15 44/12	105/14	prove [2]
possible... [1]	prepping [1]	principles [1]	44/19 61/24	profession	95/23 131/4
46/4	8/10	20/25	procedure's	[7] 67/24	provide [6]
post [4]	prescription	print [1]	[1] 41/11	130/10 130/10	48/2 63/16
26/24 120/2	[1] 131/2	123/16	procedures	151/1 154/10	84/5 107/6
120/7 120/14	prescriptions	prior [3]	[15] 8/25 9/8	154/14 154/15	143/8 144/7
posted [3]	[1] 135/23	28/24 29/12	24/11 32/9	professional	provided [8]
48/10 98/18	presence [1]	31/1	37/1 69/17	[3] 8/14 107/5	7/12 30/12
134/6	100/9	prison [7]	84/5 84/12	141/23	32/7 32/11
potential [3]	present [3]	143/5 143/21	84/18 84/24	professions	34/17 36/16
78/14 83/14	2/1 71/19	144/13 144/18	109/1 110/13	[3] 67/23	57/12 64/9
151/23	137/24	144/21 144/24	110/21 110/23	116/24 130/11	provider [1]
Power [1]	presentation	146/15	111/1	professor [1]	20/4
5/23	[10] 20/12	prisoners [2]	proceed [2]	142/11	providers [1]
powerful [1]	20/23 28/14	143/13 146/8	22/4 101/15	program [14]	122/24
68/8	45/20 45/21	prisons [1]	proceedings	4/19 5/6 8/23	provides [1]
practice [10]	48/7 48/16	143/10	[4] 97/23	12/20 66/8	31/4
5/15 56/25	99/23 99/24	private [1]	97/24 160/20	99/5 99/8	providing [4]
57/4 58/13	104/21	5/14	161/7	100/24 104/22	6/24 7/4 98/21
131/23 132/13	presented [2]	PRND [2]	proceeds [1]	130/16 130/17	154/3
132/16 139/11	13/3 13/7	6/24 11/11	96/11	130/18 131/12	provisional
140/2 154/19	presenting	probability	process [19]	141/12	[1] 74/24
practices [1]	[1] 12/17	[4] 32/20	21/21 22/1	programmers	Prussia [1]
109/12	pretty [15]	44/18 61/3	26/25 30/2	[1] 128/19	18/24
practitioner	38/5 47/20	61/3	35/7 41/25	prohibited [1]	psyllium [1]
[3] 130/16	49/4 52/22	probably [35]	42/7 63/17	130/21	23/20
151/3 154/21	60/1 60/4	18/22 21/9	65/20 69/18	project [3]	public [11]
practitioners	92/16 93/1	26/19 34/18	70/9 74/1 74/7	107/1 107/14	1/20 33/2 33/8
[1] 154/12	112/11 112/12	53/22 55/7	75/12 101/4	129/2	48/4 57/14
pre [2] 21/6	112/14 125/12	55/21 55/25	117/11 148/22	proof [1]	78/9 79/11
58/20	136/14 136/19	70/22 71/5	149/5 155/8	120/12	79/21 96/1
pre-cancerou	145/4	77/24 83/3	processed [4]	proper [3]	105/2 142/9
s [1] 21/6	prevalence	90/20 93/2	64/2 64/4 64/7	13/5 13/10	publishing [2]
pre-stuff [1]	[1] 23/8	96/14 97/2	68/19	107/9	111/15 136/14
58/20	prevent [1]	98/9 98/13	processes [2]	proportion	pull [2] 95/21
preemploye	147/5	109/3 109/9	32/9 41/15	[2] 7/15 7/19	96/7
nt [1] 131/1	preventative	111/10 111/11	processing	proposed [2]	pulled [2]
prefer [2]	[1] 6/24	111/13 111/16	[2] 19/17 65/4	79/25 84/2	49/8 119/9
65/16 65/17	prevented [1]	115/2 117/23	processors	proprietary	pulling [1]
preliminary	21/6	118/11 127/19	[1] 63/17	[1] 54/10	113/3
[1] 105/21	prevents [1]	131/7 135/6	procure [1]	Pros [1] 63/2	pulls [1]
premises [1]	57/6	145/21 146/25	132/20	protection [8]	114/16
33/18	previous [6]	155/16 157/24	procuring [1]	1/3 7/5 12/11	purchasing
preop [1]	12/9 106/5	158/3	132/19	12/25 48/1	[1] 128/21
51/2	106/18 110/3	problem [7]	produce [3]	104/23 104/24	Pure [1]
prep [3] 21/9	111/5 118/22	61/7 65/25	44/25 45/2	156/10	88/21
50/20 50/22	previously [3]	107/23 113/20	45/4	protocol [1]	purpose [1]
preparation	5/23 20/10	133/17 140/23	produced [1]	89/21	70/10
[1] 21/14	110/25	156/9	116/3	protrusion	purposes [2]
prepared [1]	primarily [2]	problems [1]	product [1]	[1] 25/17	29/14 68/11
49/25	105/4 113/11	36/6	80/22	proud [1]	pursue [1]
preparing [1]	primary [1]	procedure [6]	products [1]	20/1	77/20
		23/19 24/19			

P	145/6 157/8	32/10 32/17	153/9	148/2 149/11	120/5 121/24
pushing [3]	questioned	33/1 33/7	radiological	151/2	127/17 133/15
87/13 88/24	[1] 94/3	37/15 37/19	[1] 10/2	raying [2]	139/11 142/7
153/24	questionnaire	38/11 39/19	radiologist	132/8 141/5	151/20 151/21
put [25]	[2] 155/3	44/12 47/25	[10] 5/2 5/14	rays [6] 25/4	152/8
10/22 11/3	155/9	47/25 67/15	64/13 67/19	25/15 131/15	realm [1]
22/20 35/14	questions	80/25 81/25	123/19 151/6	139/25 140/7	95/5
50/24 65/12	[32] 13/16	84/7 85/21	151/7 152/12	148/5	Realtime [1]
92/22 95/22	15/11 21/3	85/24 90/10	152/22 154/18	RCEEMS [4]	1/20
97/4 99/14	28/15 34/18	93/11 96/10	radiologists	116/23 119/16	reapplied [1]
99/20 107/13	34/20 45/22	99/5 99/8	[1] 126/3	123/10 123/23	150/16
108/12 111/20	45/24 46/24	99/17 104/23	radiology [3]	RDR [3] 1/19	reapply [1]
114/11 115/13	47/24 48/2	105/20 105/22	63/18 64/11	161/5 161/19	128/11
115/24 125/6	81/12 82/25	105/23 110/7	65/15	re [1] 126/5	reappoint [1]
136/15 144/17	83/21 84/21	111/21 112/11	radion [1]	re-recognition [1] 126/5	150/11
150/10 150/13	85/7 96/2	114/14 114/22	32/2	reaccredited	reason [9]
153/5 156/8	96/18 97/1	124/23 125/19	radiopharmaceutical [1]	[1] 126/6	26/3 43/20
156/16	98/2 102/24	135/20 141/8	90/11	reach [1]	43/22 69/22
puts [1] 65/4	107/20 109/5	150/11 150/12	radiosensitive	155/22	73/22 93/2
putting [3]	109/15 109/16	151/16 154/17	[1] 40/16	reached [1]	94/16 119/23
45/15 50/8	109/23 116/7	156/10	radium [1]	153/3	131/3
115/3	120/2 126/1	radiation-induced [1]	7/16	read [15]	reasonable
	139/15 148/17	85/24	radon [2]	47/8 47/15	[1] 62/6
Q	148/17	radio [12]	12/13 105/5	55/17 55/19	Rebecca [2]
qualification	quick [3]	25/23 90/5	rail [1] 8/5	56/17 56/18	2/4 5/16
[1] 103/24	18/17 77/5	90/24 91/1	random [1]	59/9 84/22	receipt [2]
qualifications	111/18	91/3 91/10	109/10	89/3 92/2	29/22 30/15
[2] 103/16	quit [1] 140/4	91/23 94/8	randomly [1]	124/4 127/7	receipts [2]
152/21	quite [4]	94/12 94/17	110/5	127/18 127/19	148/21 148/25
qualified [3]	41/22 66/5	95/11 95/15	Randy [4] 2/2	137/7	receive [3]
76/16 103/22	149/8 149/9	radioactive	5/1 5/1 97/15	reader [1]	32/5 64/24
153/25	R	[9] 9/21 12/13	Randy's [1]	120/15	65/21
qualify [1]	rabbit [1]	30/15 31/9	159/9	reads [1]	received [6]
153/3	49/8	31/20 33/16	rate [3] 40/23	41/14	21/15 21/16
quality [4]	Rad [13] 5/6	44/13 45/7	40/24 94/9	ready [2]	30/3 64/6
63/9 67/13	67/19 116/15	75/23	rates [2]	19/5 148/10	64/14 100/1
102/15 108/13	117/4 117/5	radioactivity	94/17 108/14	real [3] 18/17	receiver [1]
quantification	128/18 131/16	[2] 115/1	rather [7]	77/5 101/19	16/3
[2] 80/2 93/8	138/6 139/14	116/1	62/14 103/8	realistic [1]	receiving [1]
quantifying	139/24 140/4	radiographers	104/16 104/17	95/23	144/9
[1] 95/15	140/6 154/9	[1] 77/7	104/18 134/7	realize [1]	recently [5]
quantitatively	radiation [63]	radiographs	146/6	153/8	8/16 13/14
[1] 80/25	1/3 1/9 2/8	[1] 128/2	raw [1] 56/8	really [25]	33/25 139/22
quarters [1]	2/15 4/10 4/12	radiography	21/1 22/19	14/18 16/3	152/1
138/1	4/21 4/23 5/5	[4] 10/11	25/1 25/5	16/3 18/3	recessed [1]
question [14]	5/9 5/12 7/5	10/12 10/25	25/21 26/21	45/19 49/12	97/23
15/1 29/15	9/19 12/10	108/10	113/21 132/5	49/13 52/24	recognition
34/21 35/21	12/20 12/22	radiologic [7]	132/6 141/13	59/21 59/22	[2] 125/23
44/3 47/12	12/25 13/6	5/17 6/25	143/15 144/14	105/10 105/11	126/5
50/2 50/9	15/13 25/22	116/24 150/14	144/17 147/16	107/24 112/7	recognize [1]
58/12 139/19	26/1 30/19	150/25 152/25		114/25 119/23	67/4
139/20 142/13					recognized
					[3] 125/19

R	113/22	relationship	repealed [1]	represents	152/15 159/21
recognized...	regional [2]	[1] 136/19	125/12	[1] 113/7	responses [1]
[2] 126/10	12/10 12/15	relative [3]	repeat [1]	reprised [1]	7/24
153/2	register [1]	26/21 161/10	82/15	100/3	responsibility
recommendat	65/19	161/12	replace [1]	request [4]	[1] 50/11
ion [3] 36/12	registered [2]	release [5]	100/25	46/1 81/16	responsible
36/13 36/15	65/8 102/6	32/24 33/20	replacement	81/17 100/19	[2] 15/10
recommendat	registers [1]	34/7 136/21	[2] 4/24 101/1	requested [2]	101/23
ions [3] 85/9	65/1	137/2	replacements	48/3 104/14	rest [7]
90/2 105/25	registrant [1]	released [3]	[2] 53/7 53/19	requesting	115/12 116/25
recommende	100/7	100/5 105/3	replied [1]	[1] 100/8	121/17 122/16
d [2] 36/9	registration	107/12	107/16	require [10]	124/24 134/7
137/13	[5] 65/8 69/12	relevant [1]	report [28]	18/6 18/7 18/8	141/21
reconstructio	100/8 102/5	33/6	33/10 33/13	21/13 31/21	restrict [1]
n [3] 25/18	102/9	relied [1]	42/20 43/3	31/22 47/14	39/25
27/21 28/7	registrations	119/19	83/11 89/12	90/15 131/7	result [3]
record [5]	[1] 101/4	relief [1] 49/3	93/22 99/4	148/5	69/24 117/13
30/17 30/18	regs [3]	religion [1]	105/1 105/3	required [10]	131/6
109/20 132/3	13/23 50/13	53/22	105/25 106/6	29/11 29/12	results [15]
161/8	50/15	religions [1]	106/18 111/5	30/21 31/14	27/12 38/3
recorded [2]	regular [1]	53/23	111/24 114/11	31/17 32/13	41/4 41/7 41/9
24/3 135/14	96/24	reluctant [1]	114/13 114/13	78/3 85/22	41/13 41/14
recorder [1]	regularly [1]	21/7	114/17 114/18	131/1 137/5	42/16 42/18
24/14	136/18	rem [2] 33/9	114/18 115/13	requirement	64/24 65/3
recordkeepin	regulated [2]	89/6	130/22 131/21	[3] 16/23	69/11 71/9
g [1] 29/20	33/23 34/2	remember	132/3 137/18	16/24 38/11	72/12 115/4
records [9]	regulating [1]	[14] 8/9 16/1	137/21 161/6	requirements	resume' [2]
30/15 40/21	44/11	17/5 17/15	reportable	[8] 29/16	155/4 155/7
84/19 108/25	regulation [2]	37/21 37/22	[1] 32/20	29/18 30/13	resumed [1]
132/7 134/11	44/6 45/5	37/23 38/1	reported [5]	30/24 44/10	97/24
136/21 137/1	regulations	43/11 43/12	1/19 84/10	102/25 103/4	retain [1]
137/3	[4] 29/2 29/19	60/17 104/2	91/11 94/1	103/17	84/18
red [1] 79/24	100/4 116/14	106/16 152/13	134/5	requires [4]	retaining [1]
redness [1]	regulations.g	remote [1]	Reporter [2]	21/8 28/1	84/19
94/14	ov [1] 96/25	44/19	1/20 161/1	33/12 84/6	retake [1]
Reedy [1]	regulatory	Remotely [1]	reporting [4]	requiring [1]	67/1
9/12	[6] 2/10 21/3	2/13	32/13 32/23	140/5	retarded [1]
references	26/25 28/16	removal [1]	78/3 111/2	research [3]	69/10
[2] 155/10	31/6 117/11	119/25	reports [7]	29/5 29/14	retire [4]
155/10	reimburseme	remove [3]	33/12 84/20	101/17	102/1 102/18
referred [1]	nt [4] 62/21	23/11 43/19	103/12 108/24	residual [3]	119/8 129/14
142/11	148/22 148/23	147/20	111/20 114/9	93/20 115/10	retired [3]
reflect [1]	149/1	removed [4]	132/3	115/11	2/2 5/2 5/22
113/13	reiterated [1]	34/15 43/19	represent [2]	resounding	retirement
refund [1]	34/1	44/15 119/25	12/23 138/5	[1] 87/12	[2] 101/19
148/23	related [7]	renegotiate	representativ	respond [2]	101/22
refusing [1]	130/17 135/22	[1] 102/15	e [2] 4/17	152/14 153/23	retrieve [4]
61/21	139/10 151/1	renewal [1]	5/21	responded	33/21 44/5
regarding [1]	154/9 154/14	102/7	represented	[1] 7/12	51/24 54/16
78/2	154/15	renewing [1]	[3] 46/20	response [7]	retrieved [2]
regardless	relations [1]	117/5	46/21 138/23	6/14 9/11 9/14	23/2 44/20
[3] 66/25 69/7	78/11	Reno [1] 10/6	representing	9/20 48/2	return [3]
			[1] 76/7		

R	41/16 42/21	142/19 142/23	running [2]	sanctum [1]	95/18 125/10
return... [3]	42/25 52/22	142/23 143/20	42/1 127/10	143/22	137/7 141/2
30/12 37/6	54/11 56/10	144/8 144/8		sanitary [2]	141/17 144/12
44/16	57/7 57/19	144/11 144/11	S	34/4 34/7	145/11
returned [2]	57/20 58/1	145/7	sacrium [1]	Saturday [1]	scan [12]
34/9 34/15	58/5 58/8	risks [1]	104/12	156/25	22/16 22/25
returns [1]	58/11 58/19	147/3	sacrum [5]	save [1]	23/4 24/1
30/6	58/23 59/7	Rita [3] 1/19	104/13 104/14	121/17	27/18 29/1
reusable [1]	60/23 60/25	161/5 161/19	104/17 104/17	saving [1]	29/13 41/4
52/9	61/11 62/17	road [2] 8/11	104/19	21/16	41/13 146/5
reuse [3]	63/4 64/25	8/17	safe [1]	saw [2]	147/8 147/9
44/21 52/2	69/10 69/19	Rob [2] 49/3	154/20	107/23 126/17	scanner [3]
52/3	70/11 71/8	68/9	safety [10]	say [40] 23/9	94/25 114/10
reusing [1]	75/7 77/1 78/8	robust [2]	2/16 4/10 4/10	37/24 50/6	140/25
51/21	80/3 80/23	39/1 39/3	28/24 30/19	53/25 58/4	scanners [1]
reversed [1]	81/2 81/9	Rochester [2]	32/11 143/9	61/2 65/13	141/16
91/16	81/17 82/16	38/17 38/24	144/2 147/6	68/18 76/24	scanning [4]
review [14]	83/1 83/10	Rodriguez [1]	147/6	80/3 83/18	22/19 23/1
8/21 8/24 9/8	83/16 83/17	150/19	said [27]	85/2 85/23	26/3 40/11
17/4 29/10	84/9 86/16	rods [1] 10/3	11/15 15/16	87/24 91/13	scans [2]
29/12 33/4	89/1 89/20	rough [1]	16/8 19/2	100/18 104/19	40/7 95/6
42/20 91/8	90/13 90/18	142/7	23/19 37/6	106/12 107/22	scared [2]
111/15 120/22	91/24 91/25	roughly [4]	43/18 43/19	109/7 112/4	129/20 129/21
124/19 125/2	92/17 93/6	37/18 104/25	51/11 56/5	118/23 119/8	scattered [1]
133/13	93/13 93/21	134/11 138/25	57/16 72/7	119/10 119/10	114/1
reviewed [2]	94/15 94/22	routine [4]	72/22 75/6	120/10 120/17	scenarios [1]
103/16 126/8	97/25 99/1	7/9 21/13	75/6 91/9	122/7 129/17	33/6
reviewer [1]	101/4 104/19	23/24 24/17	101/25 111/6	136/8 136/22	Schenkman
5/12	106/15 108/7	rows [2]	114/9 118/13	142/18 142/22	[4] 2/2 5/1
reviewing [2]	108/22 109/21	133/4 133/21	120/5 120/10	144/1 146/21	5/1 97/15
42/4 118/12	111/23 111/24	RSO [1] 2/3	122/5 141/10	154/20 156/4	school [3]
reviews [2]	112/9 112/24	RT [3] 2/3 2/4	142/12 145/10	157/4 157/13	6/2 49/9 142/9
9/5 41/3	115/14 115/22	2/6	150/23	158/1	science [1]
revised [1]	116/10 118/14	rule [20] 78/1	salary [1]	saying [21]	101/13
80/1	120/16 121/12	78/11 78/15	76/18	14/13 16/22	scientific [1]
revisit [1]	121/14 121/18	78/15 79/3	Saline [2]	54/23 56/12	33/4
80/18	122/18 124/4	79/16 79/21	91/25 92/3	56/16 58/19	scientist [1]
ribbing [1]	125/15 125/17	79/23 80/19	same [19]	61/20 61/21	20/8
20/22	127/20 128/15	82/25 84/2	6/1 50/14	69/19 81/7	scoot [1]
Richland [2]	129/22 130/14	90/16 93/8	55/17 56/16	81/8 82/17	79/17
2/16 20/9	143/7 145/8	96/10 96/14	67/3 69/15	92/23 97/19	scope [6]
Ridge [3]	145/19 146/1	116/14 118/3	69/16 69/16	100/22 114/20	36/24 36/25
17/10 17/10	149/7 150/5	131/20 131/20	69/17 73/18	122/12 122/19	47/3 47/5
17/22	155/13 159/18	140/25	83/25 91/22	140/10 144/6	77/13 77/15
right [107]	159/24 159/25	rules [4]	92/8 92/8	159/10	score [1]
6/11 6/16 6/19	160/4	116/20 119/9	123/13 127/17	says [19]	69/15
13/24 14/11	rigorous [1]	126/17 141/16	137/9 138/21	69/20 69/22	scores [1]
16/6 16/17	30/23	ruling [1]	151/4	70/12 74/2	70/7
18/1 24/18	risk [16] 22/5	79/25	sample [6]	74/13 80/6	scrapyard [1]
27/21 28/5	22/8 29/7	run [3]	60/8 60/9	85/19 85/22	7/9
36/4 36/4	51/20 62/1	114/17 114/18	60/11 60/16	91/8 93/8	screen [7]
36/19 36/21	141/24 142/6	114/18	109/10 114/5	94/12 94/16	19/8 19/10

S	see [47] 4/3	106/11	157/21 158/5	118/14 128/25	83/21 94/17
screen... [5]	5/25 11/18	semiannual	158/6 158/11	152/3 159/10	99/23 117/12
20/14 59/25	19/10 25/20	[2] 29/20	159/5	she's [5] 67/2	130/1 130/7
99/21 117/17	27/1 27/10	29/24	serious [1]	79/20 128/24	131/6 133/4
131/1	27/12 27/16	send [24]	43/14	159/9 159/10	133/19 134/12
screened [2]	27/17 27/19	24/23 24/23	serve [1]	shelf [1]	137/12 138/16
23/14 55/12	27/20 27/21	37/9 40/10	151/22	45/10	143/8 147/2
screening [4]	27/22 28/5	41/24 48/8	served [1]	shielded [1]	147/4
19/9 50/25	54/21 56/3	48/11 55/8	20/9	32/16	showed [4]
51/1 61/17	58/8 60/19	60/9 60/12	service [2]	shins [1]	8/4 51/2
scroll [2]	63/14 76/20	60/14 60/25	100/2 103/18	100/9	137/19 138/15
77/4 83/21	79/18 82/10	61/1 65/23	services [1]	ship [1] 17/3	shower [1]
scuba [1]	85/11 94/21	70/2 70/7 73/1	20/5	shipment [2]	24/16
24/12	105/6 107/1	73/1 75/13	servicing [1]	31/1 31/3	showing [4]
se [1] 105/14	110/11 114/15	98/9 136/16	19/19	shipped [8]	33/5 79/5
seal [1] 31/11	117/20 118/20	136/25 149/16	session [1]	12/4 12/6	128/2 150/1
sealed [6]	121/5 124/21	154/5	13/5	14/21 14/22	shown [1]
29/2 29/15	124/22 125/4	sending [5]	set [9] 10/14	15/16 16/17	31/6
30/23 31/2	125/15 127/15	42/8 42/12	24/20 85/7	18/4 26/15	shutter [4]
31/5 31/23	128/5 130/13	60/3 86/8	104/15 104/15	shipper [5]	26/2 26/4 26/5
search [2]	133/8 135/9	107/14	109/15 109/16	14/3 14/4 14/7	39/23
147/8 148/4	135/16 138/22	sends [1]	116/22 141/12	15/9 16/3	side [11]
season [1]	139/4 150/2	47/10	setting [2]	shippers [1]	27/18 28/5
6/22	151/5 159/18	senior [1]	106/20 107/10	39/12	48/15 67/18
second [15]	seem [1]	20/7	Setton [1]	shipping [4]	113/6 114/8
6/10 8/15 41/2	50/16	sense [5]	150/15	10/14 10/19	128/18 142/20
45/3 49/18	seemed [1]	40/8 40/8	seven [5] 8/7	13/23 16/15	142/21 142/23
67/12 79/5	46/9	85/25 101/23	19/22 35/3	shots [2]	143/1
79/24 135/16	seems [6]	130/8	101/6 149/10	108/17 108/18	sides [1] 68/3
137/14 147/2	44/6 60/4	sensing [1]	several [3]	should [32]	sigh [1] 49/2
153/13 156/23	134/7 147/12	39/24	46/19 51/16	16/5 16/10	sign [5] 42/4
158/23 159/14	153/21 158/18	sensitive [1]	128/1	16/18 18/5	48/21 103/6
secondarily	seen [8]	95/8	severity [1]	34/8 34/13	103/9 103/12
[1] 68/21	73/15 75/10	sensitivity [2]	137/17	34/14 47/23	signals [1]
seconds [1]	80/3 80/8	27/3 27/4	sewage [1]	59/1 59/2 59/2	24/9
140/15	80/24 88/2	sent [10]	34/11	83/23 83/24	signature [3]
section [12]	108/11 118/21	7/17 15/10	sewer [4]	84/23 84/24	58/9 58/25
33/15 76/8	segment [1]	17/12 37/14	34/4 34/8	94/2 94/9 98/8	148/24
92/5 99/17	120/20	38/8 42/19	38/15 39/4	98/20 99/3	signed [2]
116/11 116/16	segmentation	72/1 72/11	sewers [1]	107/21 115/2	70/12 149/4
117/19 118/3	[1] 120/18	98/13 160/2	54/19	115/17 115/23	signing [1]
118/19 118/21	select [3]	sentence [2]	shall [1]	116/4 124/25	58/16
134/9 135/14	69/20 69/22	119/24 119/25	140/3	137/10 141/4	similar [4]
security [11]	110/5	separate [2]	shape [4]	141/11 151/9	94/7 94/9
7/3 17/6 17/18	selected [1]	117/7 121/20	132/5 132/13	154/2 160/14	110/16 140/5
140/25 141/16	110/15	separately	135/20 136/4	shouldn't [1]	simple [2]
143/21 145/16	selecting [1]	[1] 42/8	share [3]	74/10	23/19 50/17
145/17 146/10	67/7	September	66/6 96/7	show [23]	since [27]
146/23 147/22	sell [4] 70/6	[12] 6/5	113/8	62/25 72/1	6/21 7/2 10/17
sedation [3]	90/14 111/4	156/18 156/23	she [9] 58/3	72/1 76/12	12/22 12/24
21/9 50/18	111/4	156/25 157/2	63/9 66/15	78/18 79/24	15/17 24/14
51/18	Selpn [1]	157/15 157/18	66/19 67/1	82/9 82/25	29/21 32/15

S	slice [1] 110/6	software [2] 113/22 114/15	147/19	127/23 130/17	specialty [1] 31/25
since... [18] 32/17 35/23 36/1 45/7 64/7 68/16 76/15 83/6 100/19 100/23 101/19 104/10 105/11 109/4 137/4 148/13 150/6 153/15	slide [13] 21/4 21/19 22/10 23/12 23/18 24/4 26/6 26/17 27/15 28/2 28/10 99/23 99/24	sold [1] 10/12	somehow [1] 20/14	sorted [1] 133/25	specific [2] 47/24 106/23
single [6] 19/17 22/17 44/23 54/16 73/15 89/18	slides [4] 27/20 76/1 79/11 96/6	solution [1] 37/14	someone [10] 54/20 56/6 59/2 64/18 113/7 124/18 152/19 153/16 153/25 155/4	sorts [1] 133/12	specifically [7] 29/5 32/3 73/11 81/7 86/14 105/8 135/22
single-use [2] 22/17 44/23	slightly [2] 121/6 146/24	solving [1] 9/5	something [46] 11/8 11/14 13/18 14/18 15/3 17/5 17/15 18/21 23/10 27/25 43/9 47/18 51/10 51/14 54/13 70/5 73/17 80/18 84/19 96/13 103/10 110/16 110/17 112/18 115/2 115/6 124/17 127/3 127/9 128/4 132/22 133/22 134/3 134/21 136/14 137/20 141/7 145/10 146/8 147/4 147/20 147/24 153/19 154/18 155/21 157/3	sound [1] 74/7	Specificity [1] 27/14
sister [1] 67/14	sliver [1] 105/15	some [79] 6/19 8/15 9/22 9/23 10/3 14/9 17/4 18/11 21/24 23/20 23/21 24/5 31/21 36/18 36/21 39/25 41/21 44/14 52/3 52/4 52/6 59/2 59/14 59/18 62/7 73/22 75/14 76/21 79/20 80/15 80/16 81/21 84/2 85/2 92/10 93/19 102/12 102/23 106/20 107/25 109/9 110/6 111/2 111/3 111/24 112/4 113/13 113/16 113/24 119/1 119/2 122/23 124/19 127/23 128/2 129/24 130/2 130/2 130/7 130/16 132/5 132/13 133/15 134/25 135/20 135/22 142/7 142/9 142/17 144/17 144/18 145/15 145/15 146/21 148/15 148/19 149/17 151/24 156/11	soup [3] 17/14 105/19 107/8	sounds [8] 40/13 55/13 56/1 59/5 63/2 70/3 143/3 158/12	specifics [1] 73/13
site [16] 8/10 8/12 8/16 8/17 9/6 31/4 32/8 38/9 42/9 45/3 67/8 80/14 94/20 95/13 96/3 104/11	slope [1] 14/2	slow [3] 21/20 25/3 120/15	South [2] 4/9	source [20] 10/25 13/19 21/1 23/16 25/2 25/22 26/7 29/3 29/15 30/23 31/3 31/5 31/11 32/15 44/13 44/18 45/1 45/2 45/8 45/10	specifies [1] 80/24
sites [7] 46/2 46/5 46/9 47/19 47/22 104/12 106/24	small [9] 28/3 32/18 35/24 36/1 36/3 46/9 49/13 105/14 126/18	slow-growing [1] 21/20	sources [19] 9/23 10/1 10/11 10/12 10/22 11/15 14/4 14/13 14/16 14/23 15/19 16/2 17/7 18/2 18/8 18/12 29/18 31/24 45/4	speed [1] 148/22	spell [1] 117/24
sitting [1] 11/6	smaller [3] 35/17 35/19 92/24	slowly [2] 21/22 22/7	sometimes [2] 135/9 145/17	spellcheck [2] 118/8 118/9	spellcheck [2] 118/8 118/9
situation [1] 135/10	smooth [1] 14/2	small [9] 28/3 32/18 35/24 36/1 36/3 46/9 49/13 105/14 126/18	somewhat [2] 14/20 105/6	sponsor [1] 20/5	sponsored [1] 12/16
situations [1] 119/1	snuff [1] 9/1	small [9] 28/3 32/18 35/24 36/1 36/3 46/9 49/13 105/14 126/18	somewhere [3] 127/16 156/23 157/5 46/4 97/4 159/23	sports [1] 24/14	sports [1] 24/14
size [1] 27/1	so [334]	smaller [3] 35/17 35/19 92/24	soon [4] 30/4 46/4 97/4 159/23	spot [1] 68/21	spot [1] 68/21
skin [3] 148/4 151/17 151/18	societal [1] 145/13	smallest [1] 120/19	sorry [5] 37/24 66/7 75/16 85/12 104/13	spouse [1] 134/16	spouse [1] 134/16
skip [1] 70/17	societies [3] 107/5 116/23 149/16	smooth [1] 14/2	sort [7] 8/15 45/8 72/5 74/17 109/10	spreadsheet [1] 133/3	spreadsheet [1] 133/3
skull [3] 104/12 104/16 104/18	society [6] 20/6 87/11 98/13 145/11 154/23 155/22	smallest [1] 120/19		spring [3] 26/1 26/4 117/9	spring [3] 26/1 26/4 117/9
sleep [1] 24/16	soft [2] 88/4 88/6	smallest [1] 120/19		spring-loaded [1] 26/4	spring-loaded [1] 26/4
		smooth [1] 14/2		stack [1] 103/19	stack [1] 103/19
		snuff [1] 9/1		staff [13] 2/8 6/23 11/17 73/24 74/10 80/20 81/25 102/2 102/2 123/4 128/17 128/17 150/8	staff [13] 2/8 6/23 11/17 73/24 74/10 80/20 81/25 102/2 102/2 123/4 128/17 128/17 150/8
		so [334]		staffed [1] 101/4	staffed [1] 101/4
		societal [1] 145/13		staffing [1] 100/24	staffing [1] 100/24
		societies [3] 107/5 116/23 149/16			
		society [6] 20/6 87/11 98/13 145/11 154/23 155/22			
		soft [2] 88/4 88/6			

S	102/6 103/1	step [1] 85/2	straightforward [2] 125/12 145/4	subcommittee [1] 83/11	Summerlin [3] 159/18
stage [5] 22/4 22/9 22/12 111/9 150/6	109/4 116/22 119/12 129/6 130/18 130/24 134/10 146/15 161/2	steps [2] 84/21 84/23	strap [1] 86/17	subjected [1] 30/23	160/1 160/2
Stakeholders [1] 17/19	State's [2] 16/11 107/12	stick [3] 92/10 148/1 148/2	street [1] 160/6	subjects [1] 29/6	Summit [1] 12/16
standalone [1] 77/10	statement [1] 98/23	still [26] 11/20 46/25 50/23 51/4 55/19 72/20 83/1 103/11 109/6 111/8 117/2 117/2 121/18 121/23 123/8 123/10 124/21 127/25 128/6 128/14 136/1 146/2 146/3 149/19 153/17 155/18	strictly [1] 47/6	submit [7] 65/2 65/10 65/16 65/18 123/6 126/1 155/4	Sunday [1] 157/1
standard [6] 45/5 61/23 110/19 141/15 142/21 146/24	states [19] 8/24 14/23 31/21 38/16 47/13 55/5 59/8 59/10 79/4 103/15 105/2 106/25 107/16 107/22 108/20 123/22 123/23 136/13 146/21	strong [3] 31/11 98/23 137/11	strongly [1] 98/5	submits [1] 66/2	sunset [1] 124/16
standards [9] 116/17 116/21 117/1 117/19 121/10 130/9 137/13 141/9 146/21	statistical [1] 113/25	struck [1] 118/20	structure [2] 14/21 147/1	submitted [7] 65/7 72/24 81/16 81/18 119/12 126/7 128/7	superstructure [1] 126/19
start [14] 4/6 4/7 51/17 70/23 99/7 99/18 111/10 112/22 116/10 116/11 118/12 130/4 130/5 155/8	stating [1] 31/5	stuck [1] 44/2	sturdy [1] 25/24	subpoena [3] 136/24 136/25 137/2	supervising [1] 103/11
started [11] 4/5 14/14 63/15 79/7 86/8 97/5 98/1 106/6 117/9 148/13 149/18	statistical [1] 113/25	stole [1] 8/14	students [6] 65/14 66/20 70/25 72/3 72/7 72/9	successful [2] 98/6 149/17	Supervisor [1] 2/10
starting [5] 6/22 38/20 42/14 102/20 157/1	statistically [1] 114/4	stolen [6] 8/2 8/5 8/8 9/16 33/14 77/4	studied [5] 59/9 90/10 91/9 94/17 94/19	such [7] 24/11 25/18 33/20 35/2 105/14 108/25 147/19	Supervisor/C onsultant [1] 2/10
starts [3] 21/21 21/24 159/6	stats [4] 130/2 138/17 138/19 143/6	stomach [1] 43/16	studies [5] 59/9 90/10 91/9 94/17 94/19	substance [1] 133/17	support [9] 6/25 7/12 9/12 12/25 48/3 107/1 107/18 118/23 152/16
state [33] 1/20 8/24 12/21 15/4 15/14 31/21 44/11 47/12 49/6 66/15 66/16 66/20 68/5 68/6 68/24 69/1 69/13 69/21 80/16 96/12 100/3 101/22	status [1] 45/14	stomachache [1] 43/16	stool [10] 24/22 24/22 25/7 25/8 25/15 27/11 60/12 60/13 61/1 61/9	successful [2] 98/6 149/17	supporting [1] 20/11
	statute [7] 125/8 131/17 137/7 141/2 144/10 144/12 145/11	stood [3] 50/1 91/7 160/13	study [12] 26/12 26/24 39/15 46/6 46/14 46/18 46/23 47/15 55/14 56/17 56/18 57/18	subpoena [3] 136/24 136/25 137/2	supposed [9] 14/8 15/14 134/22 134/23 134/24 135/8 137/8 139/12 139/13
	statutes [5] 100/20 103/7 103/13 132/25 141/1	stop [2] 134/24 143/4	stuff [18] 8/13 48/22 58/20 83/7 86/9 87/2 98/19 101/24 103/5 111/1 111/12 118/20 126/10 128/1 132/11 137/15 140/6 142/7	subpoena [3] 136/24 136/25 137/2	supposedly [1] 10/20
	stay [2] 140/13 140/15	stopped [1] 97/13	sturdy [1] 25/24	subpoena [3] 136/24 136/25 137/2	sure [29] 8/25 18/5 35/9 40/3 41/15 47/19 54/2 54/8 60/1 62/19 82/22 85/23 87/9 93/1 95/14 97/6 98/22 109/22 109/24 121/11 131/8 137/4 143/6 145/5 151/20 153/21 157/20 158/16 160/11
	stayed [1] 43/24	stopping [2] 22/1 22/14	subcategories [1] 135/17	subpoena [3] 136/24 136/25 137/2	surprise [2] 52/14 110/1
	STC [2] 78/6 79/3	storage [1] 45/15		subpoena [3] 136/24 136/25 137/2	
	Steering [1] 141/8	store [1] 106/21		subpoena [3] 136/24 136/25 137/2	
	stenographic [1] 161/9	stored [2] 12/4 29/22		subpoena [3] 136/24 136/25 137/2	
	stenographic ally [1] 161/6	story [1] 81/7		subpoena [3] 136/24 136/25 137/2	
		straight [2] 71/6 114/16		subpoena [3] 136/24 136/25 137/2	

S	symptoms [1]	123/10 127/19	99/11	124/5 133/16	than [27]
surprised [2]	21/23	129/1 132/6	tasks [1]	140/1 141/12	22/12 22/24
93/7 105/6	sync [1]	139/25 142/18	106/23	tells [2] 24/1	23/9 27/3
surprising [1]	65/21	147/24 152/5	team [1]	123/4	32/18 35/11
9/25	syringe [1]	153/6	42/13	temporary	37/22 37/25
surrender [1]	115/8	taken [1]	teams [3]	[11] 64/21	50/17 54/6
11/22	system [26]	43/10	9/11 9/12 9/14	71/2 71/3 71/4	61/14 62/14
surrendered	20/24 21/11	takes [9]	teaspoon [1]	71/22 72/3	64/4 92/24
[1] 11/24	22/15 22/16	61/25 117/10	51/11	72/11 72/13	93/12 103/1
surround [1]	23/4 23/25	120/1 120/12	tech [14] 5/6	72/15 72/17	103/8 104/16
15/2	24/8 24/12	120/13 127/3	74/24 77/14	72/19	104/17 104/18
surveillance	24/20 24/21	127/4 127/6	77/21 117/5	ten [9] 27/3	113/16 129/13
[1] 7/22	25/1 25/21	127/17	131/17 138/6	62/16 62/21	138/8 139/7
survey [6]	27/7 27/17	taking [13]	138/7 139/14	76/2 105/1	146/6 149/8
104/4 107/12	29/1 29/14	8/12 22/3	139/25 140/4	117/23 120/13	155/18
107/17 107/23	34/5 34/8	42/13 51/17	140/6 152/24	120/13 140/15	thank [19]
110/8 110/11	39/24 74/5	53/18 79/2	154/9	tentatively	19/25 20/17
surveyed [1]	77/21 107/13	82/23 101/8	technical [5]	[2] 14/3 75/5	20/18 28/12
106/25	111/25 112/2	108/4 108/16	42/2 48/3	term [1]	28/12 45/19
surveys [5]	135/13 140/10	131/3 131/15	101/5 102/2	83/23	45/23 48/15
110/3 110/4	systems [2]	141/22	118/5	terminated	48/17 48/19
111/3 111/3	116/6 133/12	talk [10]	technically	[2] 130/15	49/3 49/3 50/4
111/5	T	12/12 20/24	[1] 86/4	130/19	63/10 63/12
suspect [4]	T.V [2] 60/18	21/2 47/18	technique [1]	terms [4]	63/12 68/8
23/15 27/19	60/21	71/19 71/22	127/25	22/15 26/18	78/21 160/18
28/9 110/19	tab [1]	74/21 77/22	techniques	27/12 138/12	Thanksgiving
suspected [4]	125/15	79/22 107/2	[1] 32/2	territory [1]	[1] 156/21
41/24 80/25	table [2]	talked [3]	technologies	13/21	that [520]
83/25 84/7	46/20 46/21	13/4 106/5	[3] 84/24	test [18] 24/6	that's [134]
suspects [2]	tables [1]	150/6	147/17 148/3	26/12 26/14	11/12 13/22
23/7 107/8	40/25	talking [11]	technologist	36/8 36/9	14/4 14/6
suspend [3]	tablespoon	19/3 45/7 46/8	[7] 5/17 5/20	36/11 36/12	14/17 14/24
135/7 137/9	[4] 23/22 51/5	53/18 79/2	65/15 132/24	36/13 62/5	23/8 27/2
137/10	51/12 51/13	79/10 79/14	133/13 150/25	67/3 67/6	27/18 27/25
suspicious [1]	take [41]	86/9 96/17	152/23	68/11 69/9	35/10 36/5
27/23	14/19 15/12	113/10 114/16	technologists	69/14 120/2	36/5 36/16
swallow [9]	23/16 23/19	talks [4]	[2] 64/12	120/7 120/14	37/4 38/4
21/12 23/23	23/20 29/24	117/19 118/4	120/7	131/6	38/19 40/14
34/22 35/4	35/20 37/11	136/18 141/3	technologists'	tested [5]	41/23 41/25
35/5 35/10	42/16 48/24	tall [1]	[1] 63/18	26/13 26/13	43/2 43/9
35/12 35/23	49/24 53/7	106/10	technology	31/5 65/9	43/21 43/24
50/23	53/12 67/5	Tallahassee	[2] 22/19	65/11	49/12 49/13
swallowed	68/10 69/9	[4] 9/7 10/5	116/11	testing [8]	52/4 52/22
[1] 45/17	75/1 79/12	129/15 151/15	techs [3]	27/11 30/21	53/2 53/6 54/5
swelling [1]	83/2 84/21	Tampa [5]	67/19 117/4	30/23 31/1	54/9 54/15
94/13	84/23 94/23	1/11 1/12 8/9	138/9	67/8 69/16	55/24 56/5
swimming [1]	96/14 103/21	9/17 12/3	Tel [1] 49/7	75/12 130/25	56/15 57/10
24/12	108/12 119/21	tape [1]	telework [1]	Texas [1]	58/14 58/15
swipe [1]	120/1 120/7	140/20	76/18	106/14	59/21 60/4
61/5	120/20 120/24	tapped [1]	tell [9] 8/20	text [4] 127/7	60/5 60/23
Sykes [1]	121/16 121/19	12/23	39/7 74/7	127/11 127/12	61/19 62/19
10/7		task [1]	102/13 104/2	127/20	62/24 63/21

T	17/25 18/1	68/10 68/22	68/19 71/1	36/18 36/21	85/19 86/2
that's... [87]	53/17 53/17	71/3 73/14	71/19 72/7	39/12 40/12	86/18 86/24
66/12 67/11	53/20 56/25	73/23 74/10	72/8 72/25	40/14 43/11	87/3 87/12
69/4 70/1 70/4	57/3 65/8	76/17 80/22	74/1 74/14	44/1 44/8	87/18 87/19
70/8 70/25	65/24 66/3	81/15 81/19	74/15 75/5	44/12 48/10	90/8 91/15
74/17 75/20	69/11 69/12	89/11 89/11	76/17 77/4	49/25 52/21	91/18 92/10
75/21 77/6	69/14 69/18	89/12 95/18	79/22 82/2	53/1 55/2	93/3 93/4
78/22 78/22	72/11 72/15	96/7 96/7	84/2 84/17	58/21 59/1	93/14 95/14
79/2 79/6	74/24 75/1	96/22 96/25	85/21 89/3	59/2 65/12	100/20 104/1
79/12 80/13	75/12 80/20	98/16 99/3	89/12 89/24	66/10 68/20	106/8 111/2
82/3 82/16	81/24 83/12	99/3 100/19	90/16 91/12	76/20 79/3	111/20 117/1
82/18 86/20	88/7 89/16	101/15 106/16	94/11 95/10	80/23 81/20	119/4 119/23
87/5 90/6	90/14 90/16	108/12 109/17	96/12 96/23	85/10 85/17	120/8 122/23
90/15 92/16	90/19 91/8	110/9 115/20	97/18 99/10	87/19 88/21	123/4 123/5
92/22 93/6	91/16 92/4	116/2 123/7	100/18 100/25	95/11 98/15	126/16 126/20
93/17 94/6	102/9 102/19	125/7 130/10	101/1 101/2	98/16 102/12	127/11 127/13
95/20 100/5	102/21 103/16	130/11 131/10	108/22 111/13	106/2 106/4	127/14 129/18
102/16 107/2	103/20 103/22	131/11 133/1	112/1 114/11	107/22 108/11	129/23 130/18
109/3 110/4	103/23 103/25	133/17 135/14	115/11 123/9	108/17 109/14	133/17 135/10
112/18 113/4	104/23 104/24	136/15 136/25	123/25 125/9	109/15 111/2	135/22 137/13
114/20 115/25	106/16 107/17	137/3 141/6	130/1 132/6	111/4 111/24	145/2 145/14
117/10 118/14	108/5 109/12	141/14 142/22	132/10 134/18	113/1 113/24	145/23 147/16
121/1 121/18	110/21 111/4	147/14 147/21	136/23 136/25	115/24 118/18	151/23 156/4
122/12 122/19	111/7 111/14	147/21 148/15	138/16 138/22	121/1 123/9	thereafter [1]
122/20 123/1	112/10 120/7	148/19 152/15	139/2 141/15	125/4 125/14	30/4
125/12 126/18	123/12 133/7	153/3 153/4	142/8 143/24	126/15 129/23	therefore [2]
126/18 127/3	136/16 136/17	153/5 153/10	145/6 147/2	130/14 133/4	29/18 94/9
127/12 128/6	141/9 142/9	153/14 153/15	149/1 150/17	134/12 134/22	these [63]
128/15 128/15	142/10 142/24	153/16 154/1	151/2 151/5	135/17 135/18	7/21 11/13
129/25 132/23	143/23 145/12	154/3 154/22	156/14 159/12	141/23 144/23	11/22 11/24
135/22 136/1	146/5 146/16	154/24 155/5	159/23	145/9 145/9	15/18 16/19
136/5 137/16	148/1 154/13	155/8 160/3	theoretical	147/4 148/20	17/24 18/2
139/14 141/7	155/6 155/9	themselves	[1] 39/21	151/9 153/5	25/4 25/9
142/12 142/20	160/1	[2] 108/19	theoretically	156/17 156/24	25/10 27/8
142/25 143/18	theirs [1]	152/10	[1] 137/8	158/23 160/1	28/3 29/21
144/4 144/4	148/15	then [95]	theory [1]	there's [80]	31/8 34/6
144/19 145/4	them [99]	7/13 8/7 10/10	16/18	16/23 16/24	38/15 44/23
145/21 146/7	7/4 8/13 9/22	10/16 11/15	therapy [10]	17/5 18/10	45/9 50/11
146/11 146/13	10/3 10/22	12/5 13/2	55/17 81/6	22/12 51/18	71/8 79/25
146/18 147/10	11/1 11/2 11/3	14/14 16/17	81/8 86/5	51/20 56/23	80/9 83/10
150/5 151/4	11/21 11/24	21/24 23/22	87/22 87/23	57/5 59/6 62/1	86/1 90/1 94/1
151/24 151/25	12/4 12/5 12/6	30/5 36/9	87/24 88/2	65/25 67/25	94/7 94/16
154/11 155/5	12/6 14/5 14/9	36/11 37/13	151/17 154/17	68/21 69/1	94/19 96/2
155/18 156/20	14/15 14/19	41/19 42/3	there [88]	69/21 73/19	96/2 96/6
157/4 157/8	17/13 17/17	42/13 42/18	7/6 7/15 9/5	74/17 74/17	96/17 96/18
theft [2]	18/4 22/3 27/7	49/8 51/18	9/9 10/7 10/13	75/10 78/5	96/21 96/21
33/10 33/12	35/6 37/9	55/8 55/12	11/7 11/20	78/14 78/25	106/17 111/7
their [76] 7/6	41/14 41/14	56/6 56/6 58/2	12/17 13/17	79/7 80/2 80/4	116/5 127/24
10/2 10/22	46/3 49/24	64/23 65/1	14/19 16/5	80/6 80/12	130/9 130/12
10/23 11/18	51/22 51/24	65/5 65/19	16/25 17/4	80/12 80/16	130/12 132/25
12/2 12/3	51/24 52/3	65/21 66/3	28/15 32/8	81/12 84/2	133/5 133/10
13/12 17/12	57/6 67/5	66/21 66/21	34/18 36/13	84/18 85/18	133/24 133/25

T	25/25	48/21 49/3	73/14 76/20	102/5 143/16	101/25 102/14
these... [14]	thing [41]	49/8 52/8	77/7 84/3	143/17 146/6	108/21 109/1
134/8 134/12	11/6 13/19	52/11 59/6	84/11 88/22	through [32]	120/1 120/6
134/13 134/19	51/23 51/25	62/25 70/24	88/23 92/11	12/19 14/24	120/8 120/12
135/13 135/19	52/12 53/2	71/5 73/4 73/7	95/13 102/7	22/4 23/11	127/2 127/17
135/21 139/1	53/6 54/19	74/22 75/7	102/12 104/5	25/16 30/7	127/22 128/8
139/2 139/4	55/22 55/23	80/19 82/21	108/10 109/19	36/2 37/1	128/11 129/4
144/8 145/4	56/16 60/2	82/24 88/1	109/23 110/15	37/10 40/1	132/9 133/15
149/15 150/22	63/22 67/11	93/17 93/24	110/22 112/14	54/19 68/19	135/5 135/6
they [221]	70/12 71/21	94/6 96/9	112/21 113/5	78/15 81/11	137/22 138/11
they'd [3] 8/4	74/22 79/18	96/22 98/19	113/21 114/4	89/15 98/3	140/11 148/21
11/6 75/4	82/6 84/1	102/14 110/2	121/2 121/25	110/5 117/11	149/9 149/10
they'll [7] 9/7	86/19 89/3	116/13 117/10	125/22 128/20	118/20 121/17	152/6 153/13
67/5 75/13	89/7 98/2	117/16 118/14	128/24 131/9	123/12 130/12	153/22 154/13
107/18 136/16	100/21 104/20	119/10 119/11	137/5 137/12	132/20 135/4	155/6 156/15
136/22 137/1	111/18 113/8	119/12 119/20	138/10 139/5	135/12 144/14	timeframe
they're [53]	117/22 121/24	123/14 124/5	145/16 148/18	146/21 148/2	[2] 128/9
14/22 15/7	122/6 122/8	128/16 130/12	148/25 154/1	150/5 150/10	156/22
15/25 17/23	125/13 130/1	135/21 137/20	154/2 155/17	154/3 156/18	times [9]
18/13 18/13	136/22 137/9	139/7 141/4	156/1 156/17	thoroughly [1]	65/10 74/8
31/10 51/21	138/16 138/21	143/2 149/8	though [9]	109/12	87/11 101/6
52/9 53/3 53/3	140/16 140/24	149/18 153/8	13/11 35/25	throughout	109/7 109/17
54/2 56/19	141/20	154/13 154/20	52/15 54/6	[1] 12/25	118/13 119/17
59/5 60/3	things [43]	159/4	55/17 57/16	throw [1]	119/22
61/17 62/22	5/7 10/3 11/24	thinking [6]	61/16 86/5	85/10	timeshare [2]
65/17 66/21	14/1 14/9	50/7 52/5	115/3	thumb [1]	157/5 157/10
78/8 78/15	14/21 16/19	57/11 86/22	thought [6]	140/17	Tineo [3] 2/6
79/13 88/24	24/5 45/9	128/12 154/13	11/13 119/15	Thursday [3]	4/16 4/16
90/18 91/7	50/24 53/13	third [4] 23/4	122/5 146/20	1/16 157/22	tiny [1] 71/5
92/13 92/17	63/15 68/1	98/19 106/17	153/12 155/19	159/7	tissue [5]
93/19 94/6	73/14 73/20	156/23	thousand [2]	thyroid [1]	25/9 40/19
94/25 95/1	74/18 75/13	third-party	35/2 127/20	86/24	40/20 88/4
96/22 96/22	76/10 105/15	[1] 98/19	three [29]	ticks [1]	88/7
97/2 98/6	106/7 108/14	thirty [4]	10/2 10/11	129/22	title [1]
108/4 108/8	108/22 109/19	33/13 119/22	10/22 11/1	time [66]	149/24
108/22 112/7	110/14 111/8	130/22 153/18	11/2 15/23	8/15 10/18	TOD [1]
112/11 112/12	114/4 117/20	Thirty-five	22/15 25/3	11/25 16/4	39/18
120/11 123/9	118/17 127/15	[1] 153/18	45/12 51/6	26/2 26/8 30/8	today [1]
123/23 143/14	128/21 130/3	this [248]	63/17 68/2	32/16 38/10	73/14
143/17 143/19	133/10 134/1	thorough [1]	70/22 74/25	40/4 42/16	together [8]
144/17 144/20	137/19 141/5	109/8	76/11 89/11	45/8 48/1	6/2 38/22 73/6
146/1 146/2	141/14 142/2	those [60]	89/11 96/14	53/10 53/11	73/9 107/13
148/1 159/25	143/18 145/4	7/23 16/10	101/3 101/6	61/25 64/11	138/24 139/2
they've [15]	147/14 148/25	17/3 23/9	104/7 104/8	66/15 72/7	146/3
9/1 9/2 13/11	151/25 156/14	25/11 27/4	104/12 120/10	72/10 75/2	told [7] 72/17
17/10 18/20	think [60]	27/12 27/13	120/24 123/3	75/7 76/16	73/22 97/9
65/8 65/9	11/7 16/2	27/14 28/13	125/19 126/4	83/1 83/6 83/6	97/12 102/14
70/14 80/15	17/16 18/9	33/6 42/18	153/15	86/21 89/19	124/17 129/16
100/23 103/25	27/8 35/3	42/25 43/17	three-year	89/23 90/17	ton [1] 86/5
110/21 112/25	35/11 39/7	46/17 47/22	[2] 74/25	90/23 95/4	too [16] 9/23
138/23 148/7	39/22 40/20	49/23 50/24	123/3	95/5 96/15	40/17 45/11
thickness [1]	43/8 44/7	65/3 71/2	threshold [4]	100/24 100/25	47/4 53/22

T	24/8 41/11 41/12	trees [1] 129/18	148/15 149/3	U	150/19
too... [11]	tract [2] 30/7	trial [4] 28/23	turned [2] 148/16 152/24	U.S [7] 10/18	unless [4] 31/9 32/22
54/7 56/12	40/10	29/8 42/22	turning [1] 25/3	20/5 37/13	118/20 125/10
74/8 83/8 87/4	trafficking [1] 145/18	54/6	turns [2] 118/14 121/5	46/7 101/14	unlicensed
99/13 113/15	train [1] 140/6	trials [7] 29/5	twelve [1] 117/23	105/22 105/24	[2] 11/23
124/22 124/23	trained [4] 6/23 41/22	36/19 36/22	twenty [1] 68/16	ULA [1] 131/11	131/13
129/18 129/18	42/22 63/25	37/1 77/14	twice [5] 69/9 116/13	ultra [1] 22/18	unlikely [1] 32/21
took [5]	training [17] 7/21 9/10 9/19	77/17 77/21	118/15 118/22	ultrasound	unmute [1] 35/15
14/15 20/3	10/7 12/16	tried [4] 127/19 151/24	129/8	[1] 125/22	unprofession
68/17 100/25	30/17 31/23	152/1 154/12	two [39] 8/4	um [3] 72/25	al [8] 131/24
120/22	32/2 32/5 32/7	trip [1] 13/3	8/8 8/9 11/17	75/6 120/5	135/15 135/15
tool [1] 31/16	32/8 32/9	truck [1] 7/17	22/22 25/4	unable [1] 131/23	135/19 135/24
tools [3] 85/4	102/19 102/24	true [6] 15/18 36/20	25/9 25/11	uncertified	138/13 139/3
85/4 112/12	103/3 103/8	36/21 59/20	25/25 38/17	[5] 131/10	139/10
top [5] 15/24	107/10	60/5 161/8	41/15 45/12	134/13 134/19	UNSCEARs
27/18 28/4	transcript [1] 161/8	try [5] 39/1	45/16 64/4	138/14 139/1	[1] 142/19
77/2 104/3	transfer [2] 74/3 111/25	39/10 74/23	72/4 73/11	uncharted [1] 13/20	until [15] 4/24 11/14
topic [1] 118/4	transferred	99/3 114/4	74/12 84/8	under [13] 29/1 29/4	15/6 24/17
total [5] 37/3	[5] 10/9 10/10	17/11 17/23	88/23 102/2	31/15 31/23	35/1 38/17
40/18 64/8	18/25 19/1	18/13 41/5	102/21 104/1	33/11 33/23	40/2 72/8
115/6 138/4	114/13	64/18 64/20	104/4 104/5	56/14 69/17	77/17 77/18
touch [1] 141/10	transit [1] 30/8	65/14 65/17	116/12 116/20	75/1 90/1	99/23 111/11
tough [1] 152/1	transmission	72/5 112/19	117/11 117/25	103/7 130/21	119/22 135/7
tour [1] 12/23	[1] 148/5	113/25 127/22	120/25 128/17	133/13	135/12
town [1] 38/22	transport [3] 10/15 10/19	146/8 147/5	128/17 138/7	undergone	unusual [2] 32/14 32/23
toxicology [1] 142/10	11/12	153/24	139/4 139/6	[1] 68/14	up [71] 7/19
tracer [5] 94/20 95/9	transportatio	TS [3] 14/25	142/1 142/2	understand	8/4 8/12 9/1
95/11 95/15	n [3] 17/6	17/5 17/9	150/21 151/9	[5] 38/7 40/5	9/19 9/22
95/20	17/18 148/20	TSURIG [1] 17/15	154/7	41/5 41/23	10/14 12/5
track [9] 22/23 41/4	transporter	[1]	two-plus-year	121/11	12/6 13/13
42/8 50/11	[2] 10/19 15/4	TSUSG [1] 17/19	[1] 116/12	understandin	13/17 14/4
103/23 112/8	travel [3] 24/6 25/16	Tuesday [2] 157/22 157/23	tying [1] 132/2	g [1] 36/18	20/15 27/2
112/10 133/11	148/14	tungsten [7] 19/8 25/25	type [8] 11/24 88/11	understands	33/4 38/2
133/11	traveling [1] 12/8	26/7 31/11	100/21 101/24	[1] 123/23	41/18 41/19
tracked [5] 16/10 17/24	travels [2] 22/17 30/7	31/12 32/15	133/25 138/19	Unified [1] 17/19	44/11 53/3
114/22 115/25	treated [1] 104/14	37/3	140/16 141/20	unintentional	53/16 53/20
116/5	treatment [4] 86/24 104/11	Tungsten-181	types [5] 94/1 94/7	[1] 33/24	54/7 64/2 67/6
tracker [1] 22/20	104/15 104/19	[5] 19/8 26/7	114/2 119/2	unit [3] 4/20	67/12 77/5
trackers [1] 112/15		31/11 32/15	148/25	109/18 109/18	79/24 85/10
tracking [5] 18/1 23/25		37/3	typical [1] 52/23	United [2] 17/19 105/2	87/10 89/21
		turn [4] 42/15 66/11	Typically [1] 73/24	units [4] 144/14 144/14	95/11 97/18
				University [4] 4/9 20/1 20/20	99/14 99/21
					100/14 104/15
					104/15 105/17
					106/11 106/20
					107/10 109/19
					110/18 110/19

U	136/21 137/1 140/4 147/2 147/3 152/11 152/17 153/4 154/25 155/7	69/14 using [9] 30/11 46/23 61/16 74/1 84/3 91/22 110/19 113/9 142/20	version [1] 61/5 versus [9] 36/8 65/22 71/24 113/10 114/1 142/24 144/23 147/8 147/24	voluntary [1] 132/15 vote [1] 156/15	100/7 100/15 122/12 127/2 130/1 139/21 152/6
up... [26] 111/14 115/5 116/9 116/22 123/5 123/8 123/9 124/11 126/5 128/25 129/17 129/23 132/11 135/3 135/7 140/10 140/12 140/23 141/12 148/18 148/22 153/5 156/2 157/25 160/12 160/15	use [45] 20/11 21/2 22/17 23/6 23/13 25/11 28/23 29/2 29/12 29/13 31/17 31/23 32/3 32/10 34/6 34/17 44/23 45/9 69/17 69/20 69/22 74/6 75/11 75/12 80/21 81/23 83/24 83/25 87/25 88/1 90/16 90/20 93/23 94/2 100/4 100/8 100/15 100/21 112/16 117/5 122/16 126/12 131/9 131/23 152/4	usual [2] 107/8 156/22 usually [17] 7/8 26/9 35/6 35/9 36/16 54/5 57/2 61/8 113/19 125/8 126/23 131/5 135/1 143/20 156/15 157/1 159/23	very [28] 8/14 21/20 22/14 25/24 26/20 27/8 31/10 31/10 32/18 32/18 34/23 37/18 40/13 43/9 45/20 48/25 62/5 74/5 75/17 86/24 105/7 111/8 117/12 129/6 129/16 133/3 137/11 158/18	wait [3] 65/23 72/8 100/17 waited [1] 72/4 waiting [2] 148/25 153/17 wake [1] 135/7 walking [2] 55/18 143/15 wall [2] 25/13 25/17 Walser [3] 149/19 151/1 154/10 want [51] 11/22 19/12 37/9 48/8 49/20 50/6 52/9 53/25 54/14 54/16 54/17 54/21 59/14 59/18 62/7 63/10 70/13 70/14 71/21 74/3 74/7 78/18 79/13 90/18 92/12 96/23 99/14 99/20 110/20 117/2 117/2 117/4 118/23 122/1 123/6 126/11 127/6 127/15 129/14 137/1 138/16 143/14 145/21 146/20 146/23 156/12 157/6 157/11 157/22 158/23 159/15 wanted [11] 46/22 77/20 77/22 82/24	wanting [1] 144/17 wants [3] 82/22 129/6 151/21 warm [1] 129/7 was [156] 5/3 7/1 7/9 7/14 7/18 7/25 9/15 9/25 11/3 11/4 11/13 11/14 12/2 12/16 13/17 14/6 15/12 15/16 15/20 15/23 17/25 18/17 18/18 18/20 18/21 18/25 19/1 19/2 20/14 20/15 27/11 27/14 35/23 38/20 39/15 39/22 43/9 43/10 43/20 43/22 43/24 45/20 48/25 51/5 51/11 51/14 51/16 52/13 54/1 57/10 66/19 71/20 72/17 72/18 73/25 75/8 79/4 81/17 82/16 86/10 87/7 87/23 90/7 91/11 93/7 94/19 97/8 97/9 97/11 98/19 100/7 100/17 101/7 101/10 101/10 101/12 101/23 101/25 104/11 104/16 104/21 105/3 105/3
UPC [1] 135/15 upcoming [2] 77/22 126/23 update [11] 6/18 63/10 75/23 99/8 105/9 105/18 105/21 105/23 116/11 139/14 149/6 updated [4] 100/5 105/15 149/25 154/6 updates [2] 106/2 142/3 upon [6] 70/24 80/20 119/20 135/12 137/3 154/23 uptake [1] 94/20 us [42] 10/10 39/20 45/25 48/8 65/3 68/2 70/2 70/6 70/7 71/1 71/7 73/1 73/18 75/11 75/13 75/13 82/24 90/17 96/14 99/2 101/5 101/17 102/13 107/6 109/4 109/11 122/7 125/24 129/25 134/3 134/14 136/16	used [17] 23/5 25/5 29/21 34/8 39/18 73/25 80/13 84/24 86/17 104/18 107/23 109/2 111/4 115/6 120/20 131/10 155/20 useful [1] 123/22 user [14] 30/5 30/17 31/18 31/19 31/24 32/5 32/6 42/19 43/2 47/7 47/14 55/15 57/16 58/3 users [2] 56/24 59/8 uses [1]	V VA [3] 4/15 46/10 53/9 vacancies [4] 63/20 149/6 149/7 150/2 vacancy [1] 129/3 vacant [4] 76/14 76/15 150/3 155/13 valid [3] 114/4 124/21 141/24 value [1] 127/24 varies [2] 31/21 113/15 various [10] 110/21 130/2 132/20 134/1 134/2 134/13 134/13 135/17 138/13 139/9 vein [1] 82/7 veins [2] 92/15 92/18 Venezuela [1] 10/13 verification [1] 72/1 Versant [3] 2/15 20/3 20/3	video [2] 127/3 127/5 view [2] 23/4 136/5 viewing [1] 27/16 Violating [1] 131/19 violation [1] 131/22 violations [3] 136/9 136/10 136/11 visibility [1] 86/10 visitors [6] 143/18 145/23 146/1 146/6 146/8 146/9 visualized [3] 94/19 94/24 95/20 voices [1] 96/16 void [1] 136/2 voluntarily [1] 100/23		

W	watch [1] 127/4	110/10 113/10 114/12 115/3	weeks [3] 45/12 88/7 153/15	11/20 13/20 14/1 14/7 14/8	60/2 60/6 60/6
was... [73] 105/4 105/5 105/6 105/10 106/1 106/2 106/4 106/20 107/12 107/20 107/22 107/23 111/4 111/24 112/4 116/16 116/17 116/19 117/21 118/10 118/12 118/14 118/25 119/3 119/10 119/11 119/11 119/15 119/24 119/24 122/12 123/5 133/14 133/14 134/22 137/19 137/20 139/23 139/24 139/25 140/3 140/5 140/8 140/9 140/22 140/23 140/25 141/23 142/1 142/1 142/10 147/12 149/6 149/9 149/11 149/19 149/22 149/23 150/9 151/1 151/5 152/18 152/22 154/10 156/4 156/13 156/13 156/21 158/1 158/13 159/10 160/8 161/6	water [1] 100/15	116/1 120/9 127/22 132/10 135/5 135/6 137/8 139/16 140/24 141/10 141/12 144/6 144/7 146/18 150/19 151/20 153/17 153/20 153/23 156/18 160/16	weight [1] 137/11	14/16 16/19 39/15 43/11 46/8 49/5 50/7 54/23 56/12 56/20 57/8 64/10 64/12 64/13 71/19 72/13 75/1 79/14 97/10 100/21 101/22 102/14 102/18 105/20 105/20 106/17 107/25 126/5 127/10 133/8 134/22 134/23 138/22 139/12 146/16 146/17 148/19 148/24 152/4	61/19 61/21 64/9 69/4 69/19 70/21 70/22 71/21 71/22 72/24 73/15 73/24 75/14 76/22 77/4 78/10 78/16 79/4 79/13 79/17 79/23 80/20 80/25 81/1 82/16 82/21 83/14 83/23 83/24 84/11 84/21 84/22 84/24 85/13 87/25 88/5 88/9 88/11 88/11 88/13 88/17 89/17 90/6 91/15 92/7 92/23 93/4 95/7
Washington [3] 2/16 20/9 49/6	way [38] 4/8 12/2 25/1 38/12 39/25 40/1 50/3 53/14 57/15 62/12 68/3 69/6 69/8 70/4 80/6 90/19 91/15 91/17 91/17 91/18 91/19 91/20 93/3 93/14 106/4 109/19 117/25 121/8 126/6 130/13 132/5 132/13 134/6 135/11 135/20 136/4 149/4 155/2	we've [30] 8/1 8/19 9/3 13/13 21/15 21/16 24/20 42/22 64/6 68/1 71/25 72/5 75/10 76/16 77/6 95/5 102/4 102/23 104/10 111/11 116/9 133/24 138/2 139/5 141/16 142/6 142/25 148/13 149/8 151/24	welding [1] 10/3	weren't [5] 11/15 98/20 119/2 134/5 139/13	96/19 97/10 98/20 100/10 100/11 100/21 105/10 107/1 107/9 107/21 108/11 108/22 110/10 110/11 110/13 113/9 113/9 113/13 113/14 113/22 114/20 116/23 117/12 117/14 118/5 120/18 122/12 122/19 123/23 124/5 125/2 125/3 127/7 128/5 133/8 133/16 134/24 135/7 135/25 137/11 140/1 141/11 142/20 142/21 142/25 144/4 146/13 146/18 146/23 147/3 152/13 155/18 157/6 157/9
wasn't [8] 51/9 71/4 88/8 88/10 88/10 88/16 109/22 137/4	we [318] we'd [3] 4/5 20/23 46/4	we're [52] 13/7 19/3 21/11 25/16 26/20 40/20 41/25 45/7 46/1 48/21 50/8 63/7 63/8 64/3 66/12 67/15 67/15 72/25 74/20 76/12 76/13 92/23 95/7 95/15 97/25 99/7 110/9	well [46] 4/1 14/11 22/14 24/13 36/3 45/19 48/14 48/25 49/4 49/15 54/5 55/1 55/16 57/1 61/19 62/20 63/19 67/20 69/6 70/3 71/15 80/24 85/8 86/13 90/1 92/21 97/15 98/8 99/2 102/16 111/6 112/18 122/22 133/19 139/16 139/23 144/6 145/13 147/9 150/16 154/15 158/19 159/23 160/2 160/9 160/18	West [1] 72/16	what [151] 7/5 7/8 13/13 13/19 16/4 16/20 17/1 21/11 30/2 34/12 34/21 36/10 36/16 37/15 37/18 37/24 39/14 39/15 39/15 39/16 43/20 43/22 45/8 46/11 46/24 46/24 47/20 49/10 49/20 49/22 50/6 50/7 50/10 52/5 54/6 54/23 55/13 56/5 56/15 56/22 57/10 57/15 58/10 58/12 59/22
waste [3] 86/21 89/23 90/22	we'll [18] 76/4 76/11 96/12 107/2 107/14 109/6 110/19 112/18 113/6 123/7 125/4 126/9 128/8 136/23 136/24 136/25 137/10 137/11	weakness [2] 13/22 14/20	went [19] 7/11 10/5 10/16 11/17 11/18 11/19 11/19 12/9 20/14 72/11 79/4 98/3 105/15 106/5 115/12 142/8 148/16 148/21 154/11	Westshore [1] 1/11	
wasted [1] 82/12	we're [52] 13/7 19/3 21/11 25/16 26/20 40/20 41/25 45/7 46/1 48/21 50/8 63/7 63/8 64/3 66/12 67/15 67/15 72/25 74/20 76/12 76/13 92/23 95/7 95/15 97/25 99/7 110/9	weapons [3] 143/4 145/20 145/24	were [50] 6/1 7/18 8/10 10/5 10/15 11/7		
	we're [52] 13/7 19/3 21/11 25/16 26/20 40/20 41/25 45/7 46/1 48/21 50/8 63/7 63/8 64/3 66/12 67/15 67/15 72/25 74/20 76/12 76/13 92/23 95/7 95/15 97/25 99/7 110/9	Weaver [4] 2/3 4/9 57/8 150/10			
	we're [52] 13/7 19/3 21/11 25/16 26/20 40/20 41/25 45/7 46/1 48/21 50/8 63/7 63/8 64/3 66/12 67/15 67/15 72/25 74/20 76/12 76/13 92/23 95/7 95/15 97/25 99/7 110/9	website [1] 48/6			
	we're [52] 13/7 19/3 21/11 25/16 26/20 40/20 41/25 45/7 46/1 48/21 50/8 63/7 63/8 64/3 66/12 67/15 67/15 72/25 74/20 76/12 76/13 92/23 95/7 95/15 97/25 99/7 110/9	week [21] 9/6 11/4 12/1 79/3 79/12 79/19 82/23 87/8 104/22 150/21 156/23 157/2 157/3 157/4 157/6 157/9 157/9 157/13 157/17 157/18 159/2			
	we're [52] 13/7 19/3 21/11 25/16 26/20 40/20 41/25 45/7 46/1 48/21 50/8 63/7 63/8 64/3 66/12 67/15 67/15 72/25 74/20 76/12 76/13 92/23 95/7 95/15 97/25 99/7 110/9	weekly [1] 137/21			

W	144/23 144/23	116/15 118/4	150/24 152/9	69/11 69/13	131/15 134/21
what... [1]	146/5 149/5	119/1 119/11	154/18 154/19	70/6 71/2	won't [5]
160/11	152/5 153/2	119/18 120/4	Whoever [1]	75/13 75/17	20/19 82/13
what's [20]	154/5	120/5 122/9	119/7	78/13 82/1	82/14 89/14
13/19 19/3	whenever [1]	123/18 124/17	whole [25]	84/10 96/14	111/9
36/12 40/2	81/25	125/21 127/23	26/11 40/14	98/18 99/13	wondering
40/23 41/8	where [37]	128/9 131/25	60/13 66/22	99/22 100/18	[1] 35/23
41/13 46/15	7/9 7/11 8/9	139/7 139/10	70/12 81/12	102/2 102/3	Woods [3]
54/21 78/16	8/10 9/7 12/4	141/16 145/21	82/5 82/18	102/12 102/19	129/20 129/21
89/25 90/12	14/13 14/16	146/24 149/9	98/2 103/19	107/10 109/9	129/21
108/13 108/25	15/14 18/14	149/22 150/10	115/20 120/21	110/18 110/25	word [2]
115/6 136/20	36/6 45/1 49/5	150/15 154/23	120/22 121/19	113/13 113/24	117/24 119/8
141/19 147/3	61/5 68/21	155/22 157/8	121/24 122/6	118/4 119/10	words [4]
147/23 154/15	70/1 74/13	while [8]	122/8 122/8	120/19 121/2	84/8 109/16
whatever	85/1 91/7 91/8	50/3 79/7	123/8 125/24	121/19 122/13	127/8 127/20
[13] 20/15	92/2 96/6	83/22 102/10	125/25 126/1	123/12 125/11	work [25]
26/4 37/17	106/3 106/5	142/17 149/8	134/9 135/13	126/7 127/18	17/6 24/15
48/3 58/7	108/2 109/3	151/20 159/19	145/11	128/13 137/8	42/14 46/3
67/18 74/9	110/4 116/19	white [2]	whole-body	146/23 154/2	70/23 71/3
82/1 92/1 92/3	118/3 118/19	26/14 60/20	[1] 40/14	158/16 158/22	71/4 72/6 73/6
108/24 131/23	121/5 135/10	who [55]	wholly [1]	William [2]	73/9 75/2
153/6	141/22 143/19	7/16 7/17 15/1	128/19	2/4 5/13	76/18 87/14
whatnot [2]	143/23 148/24	15/9 15/10	whose [2]	Williamson	100/2 103/10
101/18 143/24	153/22	18/4 28/13	69/14 150/2	[2] 7/20 10/6	103/22 105/21
whatsoever	Where's [1]	31/18 31/25	why [18] 4/5	willingly [1]	106/22 110/7
[1] 139/9	99/15	41/3 41/7 41/9	51/22 54/15	136/22	111/6 114/3
when [59]	wherever [1]	41/14 41/14	93/6 107/2	Wilson [4]	121/6 129/6
5/3 6/1 11/12	85/3	41/22 46/20	115/25 119/23	2/10 4/18 4/19	146/23 158/18
13/25 14/1	whether [4]	47/2 47/6	120/11 141/4	63/9	worked [6]
14/4 14/6	33/23 108/15	47/22 55/1	141/13 141/19	window [3]	5/3 5/23 49/4
14/21 17/3	110/5 143/17	69/14 72/16	144/5 145/21	32/16 74/24	101/21 134/21
18/25 23/1	which [66]	81/16 92/21	146/22 147/1	74/25	156/7
24/1 24/5	7/19 11/6	99/19 100/7	147/2 154/11	winnners [1]	working [12]
39/23 40/5	12/21 14/18	100/7 101/2	158/10	109/11	5/4 18/13 26/2
40/24 42/1	18/6 21/9	101/3 101/12	wide [2]	wipe [1] 31/1	46/2 71/10
44/4 44/14	21/21 22/7	101/17 101/17	84/11 129/18	wish [3]	101/14 106/6
49/25 53/12	22/17 26/7	102/19 108/10	wife [1]	44/17 56/20	136/3 139/6
64/18 64/20	27/5 49/19	109/11 110/15	101/25	75/17	139/8 146/18
66/7 68/4 68/5	55/3 55/6	113/9 118/7	will [70] 6/17	withdrawn	148/14
72/11 72/17	58/13 59/5	118/10 126/13	9/6 13/9 19/7	[1] 100/23	workload [2]
74/14 83/24	66/22 67/14	128/24 129/5	22/13 23/7	withdrew [1]	64/1 76/24
84/23 95/6	69/10 70/2	129/13 131/9	23/10 25/18	101/7	works [7]
99/22 104/14	76/15 76/21	131/13 131/14	26/9 35/1	within [13]	22/15 25/1
105/3 107/24	76/24 77/3	134/16 139/24	35/19 35/20	17/8 31/6	67/13 101/17
108/4 108/7	77/16 79/4	142/11 142/16	36/15 42/2	31/12 33/13	122/21 138/3
111/19 113/3	79/23 79/25	151/3 152/7	42/3 42/23	33/17 45/16	149/12
113/5 114/21	80/18 84/4	152/9 154/16	46/3 48/1 48/5	63/16 63/21	world [6]
122/7 123/22	86/25 88/19	154/17	48/11 49/24	64/1 64/4 65/4	13/1 49/13
131/1 134/18	88/22 89/23	who's [10]	56/4 64/24	130/22 150/20	57/22 127/21
135/25 136/8	91/11 99/13	12/7 54/18	67/3 68/6 68/7	without [7]	128/18 157/10
136/14 140/9	113/9 115/4	89/24 101/16	68/10 68/21	4/4 13/10 15/5	worldwide
141/7 142/7	115/5 116/13	103/22 131/14	68/23 68/25	19/1 92/10	[1] 63/22

W	154/24 157/13	14/17 15/11	144/20 144/22	73/6 73/12	you've [12]
worm [1]	157/14 157/17	15/25 16/22	144/25 145/2	74/3 75/15	41/4 77/19
115/20	157/18 157/19	17/16 17/16	146/7 146/15	98/7 112/19	87/2 96/19
worst [3]	157/23 158/3	18/9 20/16	146/17 151/11	117/22 121/20	124/22 127/3
21/9 43/17	wouldn't [2]	39/24 41/10	151/18 153/20	142/15 151/12	130/19 132/8
92/17	37/9 103/6	44/10 46/25	157/16 157/21	155/1 158/8	143/22 143/24
worth [5]	wow [2] 68/7	47/11 47/13	year [22]	158/9	146/19 148/3
11/14 14/6	91/5	51/7 52/11	12/9 33/9 37/2	yet [2] 77/15	your [52]
22/14 117/11	wrestling [1]	52/19 52/22	64/9 74/25	102/11	8/24 8/25 8/25
127/20	68/1	53/5 53/24	76/13 77/1	yi [2] 119/6	16/3 21/13
would [91]	write [2]	54/22 55/3	102/9 111/11	119/6	23/23 23/24
7/8 15/1 15/2	49/21 99/3	55/24 56/11	111/13 111/13	Yoav [5] 2/14	24/16 37/5
15/4 15/9	writes [1]	56/20 56/23	116/12 122/25	19/15 34/25	39/15 39/15
15/10 29/23	41/19	57/1 57/10	123/3 126/5	37/20 49/7	39/16 45/1
29/23 29/24	writing [1]	57/23 58/10	126/23 128/25	York [2]	45/10 45/22
31/8 31/12	115/17	59/1 59/4 60/5	133/8 133/15	38/18 42/9	46/14 46/22
31/21 31/22	written [3]	60/16 60/17	137/24 137/25	you [486]	49/21 50/24
32/23 33/8	31/14 31/16	60/24 61/4	157/9	you'd [1]	60/8 60/9
33/21 34/19	84/12	61/6 61/25	years [38]	79/17	60/11 61/1
36/14 37/7	Wroblewski	62/2 62/4 62/9	6/1 19/23	you'll [8]	61/8 70/23
37/8 37/8	[2] 149/11	62/11 62/18	19/23 20/7	70/22 76/20	70/24 72/8
37/10 42/25	151/5	62/23 63/6	23/14 35/20	82/12 82/15	74/8 82/13
43/3 44/7	wrong [5]	69/6 71/25	36/13 49/9	96/5 109/3	82/14 82/17
44/10 46/2	32/22 35/13	72/16 73/4	49/11 62/16	135/16 150/2	83/12 86/18
46/3 48/9	74/9 85/12	74/17 75/3	62/21 68/2	you're [54]	96/16 105/5
49/16 49/21	104/11	75/4 75/24	68/15 68/16	11/23 16/20	109/7 118/16
49/22 50/14	Wu [1] 79/19	79/15 81/5	75/11 86/13	16/22 17/1	118/23 121/7
52/20 55/16	X	81/10 83/3	96/11 96/15	24/5 26/18	132/4 132/6
55/25 57/1	x-ray [18]	84/17 85/6	104/4 104/5	51/4 52/25	132/22 135/7
59/24 63/16	19/8 21/1	86/7 86/12	104/7 104/8	61/2 61/8 61/9	137/5 144/7
64/21 65/13	22/19 25/1	86/23 87/5	105/1 110/18	69/9 71/11	147/19 148/17
65/15 65/16	25/5 25/21	87/6 87/19	117/23 118/11	74/14 77/24	148/23 149/25
65/16 65/17	26/21 113/21	87/21 87/23	118/11 123/11	80/23 82/4	154/21 154/22
66/6 68/22	132/5 132/6	88/22 89/8	124/8 124/11	82/7 85/23	156/14
68/24 69/7	141/13 143/15	89/20 90/7	124/18 125/5	86/22 89/10	yours [1]
70/2 71/5	144/14 144/17	90/13 91/6	125/20 126/4	89/12 89/21	148/16
71/18 75/19	147/16 148/2	92/19 92/20	128/9 128/12	91/22 92/7	yourself [5]
81/22 85/1	149/11 151/2	92/23 93/10	129/4 141/2	92/8 95/10	19/13 132/6
85/1 87/22	x-raying [2]	93/17 94/4	yellow [2]	95/18 95/21	132/8 132/11
88/1 88/23	132/8 141/5	95/2 95/12	117/20 118/19	96/17 104/5	154/24
89/17 98/10	x-rays [6]	95/24 97/6	yep [4] 63/4	109/7 109/16	Yttrium [3]
103/11 103/12	25/4 25/15	97/7 97/17	74/4 130/13	110/3 113/3	88/14 88/18
110/14 111/25	131/15 139/25	98/17 98/24	148/8	113/4 113/5	88/19
112/22 115/21	140/7 148/5	99/1 99/15	yes [30] 7/18	114/7 114/25	Yttrium-90
115/22 116/20	Xofigo [1]	107/13 112/13	18/24 19/11	124/9 124/22	[3] 88/14
128/5 128/13	86/25	112/15 113/18	19/14 29/12	125/2 130/16	88/18 88/19
140/13 140/15	XRF [1] 100/8	113/23 115/21	29/16 32/6	130/19 131/8	Z
140/16 140/17	Y	118/18 121/8	32/25 33/11	134/24 134/24	Zam [1]
143/2 143/3	yard [1] 8/5	122/21 122/23	35/1 36/14	135/8 144/5	64/23
146/25 147/13	yeah [121]	123/2 124/15	36/16 45/3	144/6 147/5	Zimbabwe
148/22 149/10	4/8 8/1 9/25	126/16 128/12	48/11 49/21	147/15 159/4	[1] 12/17
149/13 154/22		144/1 144/19	64/12 71/14	159/19	