

ADVISORY COUNCIL
ON RADIATION PROTECTION

1

DATE: May 5, 2009

TIME: Beginning at 10:00 a.m.

PLACE: Tampa Airport Marriott
Santa Rosa Room
Tampa, Florida

REPORTER: Patricia K. Gough
Verbatim Reporter
Notary Public, State of Florida at Large

ADVISORY COUNCIL MEMBERS:

Chairman Warren Janowitz, MD, JD
Tim Richardson, RT (R)
Carol Bonanno, CNMT
Kathleen Drotar, M. Ed., RT (R) (N) (T)
Vice-Chairperson Randy Schenkman, MD
Paul Burrell, CHP
Alberto Tineo, CNMT
Jerome Guidry, P.E., Q.E.P.
William (Bill) W. Atherton, DC
Albert V. Armstrong, Jr., DPM
Mark S. Seddon, M.P., DABR, DABMP
Timothy R. Williams, MD

DOH EMPLOYEES:

James Futch, Radiation Control
Terry Frady, Radiation Control
Janice Livingston, Radiation Control
Vicki Grant, MQA
Gail Curry, MQA

MEMBER OF THE PUBLIC:

Ray Dielman

1 P R O C E E D I N G S

2 CHAIRMAN JANOWITZ: I'm Warren Janowitz and is
3 the current chairman with the - what happens - if
4 anyone wants to run for chairman I won't feel
5 insulted.

6 Why don't we go around the table and have
7 everyone introduce themselves. I think we know almost
8 everyone.

9 MR. TINEO: Start right here?

10 CHAIRMAN JANOWITZ: Sure.

11 MR. TINEO: I'm Albert Tineo, from Halifax Medical
12 Center in Daytona Beach.

13 DR. ATHERTON: Bill Atherton, Bill Atherton,
14 Chiropratic, Miami, Florida.

15 MR. BURRESS: I'm Paul Burress from Florida State
16 University.

17 DR. SCHENKMAN: Randy Schenkman, Retired
18 Radiologist from Miami.

19 MR. RICHARDSON: Tim Richardson, Marion County
20 School of Radiologic Technology.

21 MR. FUTCH: James Futch from Health Radiation
22 Control.

23 MR. JANOWITZ: Warren Janowitz, Baptist Hospital
24 in Miami, Head of Nuclear Medicine.

25 MS. LIVINGSTON: Janice Livingston, Department of

1 Health Radiation Control.

2 MS. GRANT: Vicki Grant, Department of Health,
3 MQA.

4 MS. CURRY: Gail Curry, Department of Health, MQA.

5 MR. FRADY: Terry Frady, Department of Health
6 Radiation Control.

7 MR. GUIDRY: Jerome Guidry, Perigee Technical
8 Services.

9 MR. ARMSTRONG: Albert Armstrong, Assistant
10 Professor of Radiology, Barry University in Miami.

11 MR. SEDDON: Mark Seddon, Florida Hospital,
12 Medical Physicist.

13 MS. DROTAR: Kathleen Drotar, Keiser University,
14 Statewide Program Director.

15 MS. BONANNO: Carol Bonanno, Florida Nuclear
16 Medicine Technologist and Diagnostics.

17 CHAIRMAN JANOWITZ: And has everyone seen the last
18 meeting's minutes? Are there any corrections or
19 comments?

20 MR. GUIDRY: We still don't know who said those
21 words.

22 CHAIRMAN JANOWITZ: Nobody is owning up to it.

23 MR. GUIDRY: But I've been attributed as having
24 said and I know I didn't and so I'll just leave it as
25 it is. I don't think it hurts anything.

1 CHAIRMAN JANOWITZ: Change it to anonymous?

2 MR. GUIDRY: Right.

3 CHAIRMAN JANOWITZ: So we will officially strike
4 Jerome's name.

5 MR. GUIDRY: Whatever.

6 MS. LIVINGSTON: We'll just strike it and put
7 "unknown" in the minutes then.

8 CHAIRMAN JANOWITZ: Do we have a motion for
9 approval of the minutes?

10 MS. DROTAR: So moved.

11 MS. BONANNO: Second.

12 CHAIRMAN JANOWITZ: Any opposed? (No response.)
13 Approved.

14 (Timothy Williams, Terry Frady and Ray Dielman
15 enter room.)

16 MR. FUTCH: Take a seat. Former radiation control
17 employee (referring to Dielman) walking in here and
18 assuming now, I forget exactly the company name or --

19 MR. DIELMAN: Radio Physics Associates. Radiation
20 Safety and Compliance Health Physics.

21 MS. LIVINGSTON: Did everybody get a parking
22 validation ticket?

23 MR. FUTCH: Let me start out and just mention
24 we've got a -- we did the introduction. We have two

1 new folks, Vicki and Gail, from the Department that
2 handles the actual licensure, daily licensure of the
3 radiologic technologists. We're happy to have you
4 both with us today.

5 MS. GRANT: Thank you.

6 MR. FUTCH: And Bill sends his apologies but he
7 could not attend today. I thought seriously about not
8 telling you exactly why. I think I'll tell you why
9 because, you know, I want you to know.

10 As you know, we spend a fair amount of time
11 selecting the dates for the next meeting and vetting
12 it with all of you and going through the rather long
13 process. And we did all of this, of course, and we do
14 it internally in the Department. And we went to Bill a
15 couple of times and it was fine with everybody. And as
16 we're sending the -- we were putting the final touches
17 on the agenda and signed all the contracts for the
18 room and he comes back to Janice and he says --

19 MS. LIVINGSTON: He says, "You know, there was
20 something I thought about for that date that I had
21 going on but I couldn't remember. I looked at my
22 calendar and everything and I couldn't remember what
23 it is. It just dawned on me. It's my thirtieth
24 wedding anniversary."

1 ANONYMOUS: That doesn't sound like a good excuse.

2 MS. BONNANO: Yeah, right. I would kill him.

3 MS. LIVINGSTON: I started laughing. Wait until I
4 call your wife.

5 MS. BONANNO: Wait until she reads it in the
6 minutes.

7 MS. LIVINGSTON: Before we get started let's talk
8 about the restaurants.

9 CHAIRMAN JANOWITZ: Okay.

10 MS. LIVINGSTON: The reason I want to talk about
11 the restaurants now is I have to go down and make
12 arrangements. We have a Carrabbas which is across the
13 hall from TGI Fridays. And I don't know if everybody
14 wants to go to Carrabbas, TGI Fridays, if you want to
15 go half-and-half. So if we could go ahead and I'll go
16 ahead and make arrangements.

17 MR. FUTCH: One Point. Carrabbas said they would
18 reserve a table?

19 MS. LIVINGSTON: Uh-huh. They would go ahead and
20 move the tables in the back and we could get in a big
21 group.

22 MR. FUTCH: TGI Fridays, usual procedure?

23 MS. LIVINGSTON: We would have to split up into
24 smaller groups.

1 ALL MEMBERS: Carrabbas.

2 MR. FUTCH: How many folks are going to go to
3 Carrabbas? (Head count.) Anyone not going?

4 ANONYMOUS: And if you're not going to Carrabbas
5 we have a long memory here.

6 MS. LIVINGSTON: Okay. I'll go down and I'll take
7 care of that.

8 MR. FUTCH: Back to the business. We were running
9 through the minutes and realized, of course, that we
10 had extended the term for the chairperson by another
11 year so he could have a two-year term. And they are
12 up as of the middle of this year or something like
13 that. So before we meet again we need to, I guess,
14 have nominations from you folks, or re-nominations for
15 the * chair and vice-chair, who I think have done an
16 excellent job. But we will take a vote for that. So
17 this is not really my part. This is y'all's part, so
18 any thoughts?

19 MR. RICHARDSON: I think the chair and the vice-
20 chair are doing an excellent job.

21 MS. BONNANO: Here, here.

22 MR. RICHARDSON: I re-nominate them.

23 CHAIRMAN JANOWITZ: The last time I wasn't here
24 when this happened.

1 MS. DROTAR: We snuck you in.

2 MR. FUTCH: Any other nominations? (No response.)

3 I'm perfectly happy with the nomination. Any

4 discussion? Everybody wants to vote?

5 DR. ATHERTON: I'm ready to vote him.

6 MR. FUTCH: All in favor?

7 (All members say "Aye.")

8 CHAIRMAN JANOWITZ: Thank you. Okay. James, I

9 guess you're up next, legislative.

10 MR. FUTCH: When I got notice that Bill wasn't
11 going to be here today my name started appearing an
12 awful lot in the right-hand column. But we have Vicki
13 and Terry.

14 Let me start out with money matters. This is
15 always fun. As you know, Florida is in a recession.
16 Ha, ha, surprise. Who would've noticed. Right? And
17 one of the things that happens in a state like Florida
18 that relies very heavily on the sales tax for most of
19 its general revenue programs is that we start having
20 special sessions, and regular sessions, and things
21 start getting tight and then people start looking for
22 sources of money, et cetera, et cetera.

23 As you know, Radiation Control and MQA and the
24 Rad Tech, all the rest of that are all state-supported

1 programs paid for by the people who pay the license
2 fees, or the machine registration fees, et cetera, et
3 cetera.

4 We are remarkably resilient and not terribly
5 affected by the recession. We are not as affected by
6 the recession although we are to a certain extent.
7 However one of the interesting things that happens is
8 our moneys are all deposited into trust funds. And
9 those trust funds all have laws that say things, for
10 example, like all the moneys collected and deposited
11 into the trust fund shall only be used for the
12 purposes for which they are collected, which, you
13 know, basically sending the inspectors out to inspect
14 machines, and licenses.

15 However, the other thing that happens in a
16 recession is Legislators get to write laws. And so
17 what they do is occasionally they will go through and
18 sweep all the available cash out of the trust funds,
19 which is what happened in this special session back
20 in, what was it, February? Right. So they took about
21 two-thirds of the cash out of the Radiation Protection
22 trust fund and about three-fifths of the cash out of
23 the Medical Quality Assurance trust fund, which is the
24 place where either if you're a facility, your money

1 went to pay for the inspections, or if you're a
2 licensed professional, your money went to pay for
3 renewal of your license and examination and all that
4 sort of stuff.

5 DR. SCHENKMAN: Where does that money go?

6 MR. FUTCH: Straight to the General Revenue Fund,
7 which is the place where they are having the short
8 fall because of the sales tax decrease and then they
9 use that to fund, you know, all the stuff that was
10 paid for out of General Revenue that doesn't have it's
11 own dedicated funding source.

12 This is not a new thing that's happened whenever
13 there is a severe recession. It happened back in '91,
14 '92, the last big one.

15 And the other thing that has happened is that
16 back, I believe it was in the middle of the Chiles
17 administration, the trust funds had attached to them a
18 surcharge of seven percent, which is an ongoing
19 basically transfer of seven percent of all the funds
20 that go into the trust fund to the general revenue.
21 Now, that's kind of separate from the recession.
22 That's been there since the middle of the Chiles
23 administration. And that surcharge has always been
24 set at seven percent. So in a way you can kind of

1 think of it sort of as a backdoor sales tax on the
2 trust fund.

3 This particular session -- it's not final, of
4 course; the budget hasn't quite been printed, I don't
5 think, until today. But more than likely if it goes
6 the way that we think it's going to go, that surcharge
7 will increase from seven percent to eight percent,
8 ongoing permanently.

9 So think of it this way. The economy goes south.
10 The Legislators sweep available cash out of trust
11 funds and this year increased the permanent ongoing
12 every year amount of transfer that occurs from seven
13 to eight percent.

14 All of that is a roundabout way of me saying that
15 we will be looking quite hard at fee increases.
16 Because of statutory caps -- and we will get into this
17 in a second with some of the different programs, you
18 can't really increase many fees in many places inside
19 the areas that we deal with because we're at the limit
20 that the law allows. So that means we will be looking
21 at how else do we keep going. And we may be looking
22 at legislation to reduce again the frequency of which
23 we go out on the -- some of you were here back in the
24 previous years back in the, I think the mid-nineties.

1 We used to inspect x-ray machines every year. By law
2 there is a part of the statute that says we must do it
3 at least this often. And one of the things we did is
4 we had that law changed to at least every two years.
5 And it's been that way since the mid-nineties.

6 It may be time to do it again which is, I think,
7 a little unfortunate because, you know, at some point
8 you can get to the point where: Okay, I go out every
9 year, and I go out every two years, maybe I'll go out
10 every three years. Maybe we'll do it every ten years.
11 You know, at some point it really starts affecting,
12 you know, oversight, and safety, and that kind of
13 stuff.

14 Anyhow, I thought it was important to let you all
15 know that, that that is kind of a backdrop for a lot
16 of things that are going on right now. Because it
17 leads into my next topic and also perhaps it may
18 affect other decisions that -- or folks that you talk
19 to who may wonder what's going on, you know. That's
20 the background for all of this.

21 CHAIRMAN JANOWITZ: Are you to informing them for
22 the x-ray machines?

23 MR. FUTCH: Yes. That's my next topic. A great
24 segue. I appreciate that.

1 DR. ATHERTON: Did you say that -- so were you
2 just telling us that they are decreasing the
3 inspections or are you saying that may happen?

4 MR. FUTCH: No. That actually requires a change
5 in the law.

6 DR. ATHERTON: Oh.

7 MR. FUTCH: What they were -- the last time we
8 went through this, they were -- it was much easier to
9 get a decrease in the frequency of inspections than it
10 was to get an increase in the fee capital in the
11 section in the law. They are both in the law. The
12 amount of time we have to do them and the amount of
13 money we can charge, or at least the limit on the
14 amount of money we may charge.

15 DR. SCHENKMAN: But this limit is an old limit.

16 MR. FUTCH: Yeah. Let me transition to -- first,
17 any questions about this part of it, funding, all of
18 it? Think of the trust funds as kind of like mini
19 balanced budget amendments that would work great
20 except for the fact that the folks who write the laws
21 can change them.

22 So you will recall we had some proposed
23 legislation that you all voted on from I think the
24 last meeting. Actually voted on a couple of times.

1 One of those was the specialty technologist which
2 is the problem we have right now not being able to
3 recognize post-primary certifications. And this was
4 our mechanism for doing that. And I will go into that
5 again in just a second.

6 But one of the things that we proposed this year
7 was to change the x-ray machine statute. And this is
8 actually the first thing in your packet. This is
9 marked D-2, the little red tap on the top.

10 This is the actual x-ray machine statute. And
11 because of all the background of things that were
12 going on, you proposed this change to the radiation
13 machine statute which basically, what this is, is an
14 increase of getting rid of the existing frequencies
15 and hard coated statutory caps, kind of collapsing
16 them all down. We have several different types of
17 caps for different kinds of machines and facilities.
18 So what we did was we proposed to collapse it all into
19 one big kind of super cap and super range with, of
20 course, a larger amount of money that would be allowed
21 to be charged.

22 And this was proposed for this legislative
23 session which is drawing to a close as we talk. And
24 this failed this year. So we will be trying something

1 along these lines again in the fall. I don't know if
2 it will be exactly this.

3 MS. BONANNO: Is there a particular reason it
4 failed?

5 MR. FUTCH: No. Actually, you know, it got
6 farther than any of these kind of things have done
7 over the years. I think because of all the --
8 everybody realized, you know, everything -- everyone
9 is short, we need more money, we need sources of
10 money, it actually got farther than it typically does.

11 We had some interest from one of the committees,
12 I think it was in the House, from the chairman of the
13 committee, to: Hey, if there is anything else there
14 that is general revenue supported or is in an indirect
15 way supporting things, you know, let me know how we
16 can help support that.

17 So we were using that as a vehicle for trying to
18 do this. And I won't get into the politics of it
19 because I don't want to get fired. But the short
20 story is that there is a lot of philosophical
21 differences in the two different chambers on raising
22 fees, is it a tax. Folks don't want to be associated
23 with increasing taxes.

24 Nevertheless, there were a lot of things that did

1 get passed. You know, the cigarette tax, for example,
2 I think it's going to go. But it kind of got caught
3 up in all of that.

4 So, it was both a good thing and a bad thing. It
5 was good because people were taking a look at it and
6 saying: Oh, yeah, well you're right. We're using all
7 these funds and we need to do something about it.

8 On the other side of it there were people who
9 were opposed to it.

10 DR. SCHENKMAN: But, at least, when I read this or
11 when we discussed it last, it seems to me like because
12 these rules have been in place and are so old we are
13 not covering the cost at all. So, maybe if it's re-
14 presented to whomever again, you know, with the hard
15 numbers of: Look, this is what it costs us to send
16 somebody here, and this is what it costs us to send
17 somebody there, and to inspect this machine and
18 inspect that machine. I mean, maybe if they realize
19 we aren't even covering the cost then at least
20 something could get written in where it's not like
21 adding a tax but it's just covering cost.

22 MR. FUTCH: Right. And we -- just to let you
23 know, we have tried various creative and truthful, of
24 course, ways of explaining this. You mentioned how

1 long it's been. These x-ray machines, these caps were
2 set -- the caps, it's a range, right. You can start
3 out in your department but you can't go any higher
4 than this. That fee range was set in 1981 and we
5 reached that cap in 1990, early nineties. I want to
6 say 1991, somewhere in that range. So we actually --
7 I think it was set twenty-eight years ago and we
8 reached it eighteen years ago. And since then, as the
9 x-rays costs and everything has gone up, what has
10 happened is inside our trust fund the costs of the x-
11 ray machine program have, of course, gone beyond what
12 it can recover so they are now being borne by other
13 programs in that fund, like radioactive materials and
14 so forth.

15 DR. SCHENKMAN: And also we're getting inspections
16 every two years instead of every year.

17 MR. FUTCH: And that bought us a lot of time.

18 CHAIRMAN JANOWITZ: And the cost is the same
19 whether it is a chest x-ray unit versus the -

20 MR. FUTCH: It's just the time that it takes,
21 basically, to deal with it.

22 CHAIRMAN JANOWITZ: I just wonder if you might
23 have a little more success if you increase the charges
24 on the more expensive equipment.

1 DR. SCHENKMAN: But it probably takes longer to
2 inspect them.

3 MR. FUTCH: Yeah. One of the problems we use as
4 part of the rationale (for the proposed legislation),
5 in addition to all of the money stuff that we talked
6 about, the other part of the rationale is that this
7 statute actually is internally inconsistent. This
8 Section (5)(a) basically says that we're supposed to
9 be charging basically what it costs to perform the
10 inspections based upon the complexity of the
11 inspection.

12 And then (5)(b) in the same statute kind of goes
13 against (5)(a) and sets hard codes the cost of all
14 these things, not really according to the complexity
15 of the inspection but according to the kind of
16 facility where the machine was used.

17 DR. SCHENKMAN: Would it be better just to try to
18 get (5)(b) removed from the statute rather than trying
19 to change the statute?

20 MR. FUTCH: Yeah. We used that actually as part
21 of the rationale this time. I thought it was a pretty
22 good rationale but it didn't really go any further.
23 We will try again and one of the -- I don't -- did the
24 MQA language go through this time?

1 MS. GRANT: No.

2 MR. FUTCH: One of the chairmen --

3 MS. GRANT: It didn't even get picked up.

4 MR. FUTCH: Really? That's odd. The chairman of
5 one of the committees who was somewhat favorable to
6 this actually, through his staff, apparently,
7 suggested instead of going through here and directly
8 changing caps, or eliminating caps and the rest of it,
9 just putting in some wording somewhere in the statute
10 -- and this appeared in some MQA language - that said
11 something like: Notwithstanding any other law to the
12 contrary, a program that is in a deficit shall
13 increase its fees to overcome a deficit. Which, you
14 know, is kind of a roundabout way of doing things.
15 And lawyers sometimes use this notwithstanding
16 language because they don't want to actually go and
17 change the other law, because that's a little too
18 visible and it may cause some hackles to be raised,
19 but they stick another law in place in a different
20 part in the statute that says: Notwithstanding that
21 other law, you can do this.

22 That didn't go anywhere either, although I
23 thought that was a pretty creative way of doing
24 things.

1 So I don't know what form it will take. We will
2 probably bring it back to you at the October meeting.
3 But I wanted to make you aware that, you know, there
4 are money issues. We were in our legislation to fix
5 at least part of that. It didn't work out. And I
6 don't know if it is the sense of the council perhaps
7 it may be useful to take a vote that we continue to
8 try and remedy this problem and fix the legislation so
9 we actually recover the costs that we need to run the
10 program.

11 DR. ATHERTON: This legislation moved everything
12 to one year. Right? Because it was every two years,
13 every three years, every five years. Everything is
14 under one year now?

15 MR. FUTCH: This legislation, in addition to
16 getting rid of the separate fee caps based upon kind
17 of facility, gets rid of the frequency as well. So we
18 will be able to set not only the fee by rule, we can
19 set the frequency by rule.

20 DR. ATHERTON: Oh.

21 MS. DROTAR: The difference in the surcharge check
22 charge, is that eight percent. We need to make up
23 that funding as well.

24 MR. FUTCH: Yes. We'll throw that in, too, and

1 let them know that you are taking an extra one percent
2 of the money that we were going to have. But we will
3 try.

4 MR. FRADY: Is it forbidden to index any of these
5 fees?

6 MR. FUTCH: To inflation?

7 MR. FRADY: Yeah.

8 MR. FUTCH: Yeah. In fact, that was kind of a
9 rough rationale for this -- where did that number go -
10 - on the front page, that \$559 at the bottom of the
11 very first page there. That's I think about where, if
12 you took the highest previous cap, which was probably
13 for medical accelerators, and you go back and look at
14 the rate of inflation since '81, that's sort of where
15 that \$559 came from.

16 MR. GUIDRY: I think it would be high.

17 MR. FUTCH: It would be. If we went back -- I
18 actually did the figuring and about a month after I
19 did the figuring I went back and looked at it and
20 realized I had dropped a decimal point someplace.

21 MR. GUIDRY: I looked at the number and I was
22 amazed at how big it was. And then you told me 1981
23 and then I'm now amazed how small it is.

24 MR. FUTCH: Yeah. I think it should've gone up

1 like 120 percent or 130 percent or something like
2 that. But --

3 CHAIRMAN JANOWITZ: Let me ask you a question.
4 How much was in the trust fund before the state did
5 the sweep?

6 MR. FUTCH: The cash balance was about -- in our
7 fund the cash balance was about \$6 million. And
8 unfortunately, the other thing that happens is the x-
9 ray program collects its fees once a year and it sends
10 it out in, what, September-October. And pretty much
11 everybody has puts their money in by like December.
12 And then the special session happened. And so the
13 cash balance goes up and down during the year,
14 according to the revenue coming in from the people,
15 from the programs. So they hit it right when it was
16 at its peak.

17 CHAIRMAN JANOWITZ: So are you running in an
18 actual deficit?

19 MR. FUTCH: This particular program is. I would
20 have to get Janet (Cooksey) to give you the technical
21 details on the money but they're telling me that
22 something has to happen this next year or we're going
23 to have a real problem. So they took \$4 million out
24 of the \$6 million*. And I think MQA was like, what?

1 Thirty-somewhat-million?

2 MS. GRANT: Thirty million.

3 MR. FUTCH: Oh, and the interesting thing about
4 this, if you think about it. The dentist, for
5 example, we take an annual fee for five years and then
6 we go out and inspect them in the fifth year. So all
7 the dentist who had all their money in the trust fund
8 who had paid for four years and was just about ready,
9 they were especially hit hard. You know, that's the
10 way it is. We go on.

11 MR. BURRESS: James, is this the same trust fund
12 that is for clean up of sites, that materials license
13 fees go into?

14
15 MR. FUTCH: No. But the same thing happened to
16 them. There's a couple different funds. There is
17 Reclamation trust fund; A pre and post Mining trust
18 fund. I can't remember all of them.

19 CHAIRMAN JANOWITZ: Technologist fees goes into
20 it?

21 MR. FUTCH: Yes, they do. They go through this
22 fund and then they go over MQA fund. Everybody is
23 affected.

24 MR. FRADY: James, I don't know if it is of

1 interest or not, but as an inspector I interact with
2 many, many different people. One of the questions
3 that I've asked on occasion is: "Do you think we
4 charge too much? Do we charge enough?"

5 And surprisingly the majority of the people say,
6 "I'm surprise there hasn't been an increase." So
7 that's what the majority of the community is saying.
8 Of course, there's always people who would think we
9 charged too much if we did it for free.

10 MR. GUIDRY: Their fees are not at all out of line
11 compared to other fees that I'm familiar with for
12 permitting, and environmental permitting, and things
13 of that sort. In fact, I think they might be a little
14 low.

15 CHAIRMAN JANOWITZ: Does someone want to propose a
16 motion that we support the Department's --

17 DR. ATHERTON: May I make a comment when it is
18 appropriate?

19 CHAIRMAN JANOWITZ: -- the Department's goal of
20 maintaining -- how would you put it? The Department's
21 trust fund?

22 MR. FUTCH: Yes. I would say to make sure that
23 the trust fund at least it didn't operate at a
24 deficit.

1 DR. SCHENKMAN: I'll make the motion.

2 MS. BONANNO: I'll second.

3 CHAIRMAN JANOWITZ: Any discussion?

4 DR. ATHERTON: I would like, you know, to make up
5 the deficit. The only thing I don't like about it is
6 it takes away the mandate of the number of
7 inspections. So you could probably have virtually no
8 inspections eventually, which I think is, again, kind
9 of what we're here for.

10 MR. FUTCH: I think the intention is to not only
11 necessarily change the frequency of the inspections,
12 but to move it from --

13 DR. ATHERTON: Yes, I just see the negative side
14 effects.

15 MR. FUTCH: Sure. There's two arguments in this.
16 One of them is the money argument. Been picketed I
17 might say. The other one is this business of the
18 Legislature telling us: You need to base everything,
19 including the frequency of inspections, on the
20 complexity, and the risk, and all that kind of stuff,
21 which is why we go to dentists offices once every five
22 years and we go to, you know, hospitals, I guess,
23 every year?

24 MR. GUIDRY: Every two.

1 MR. FUTCH: We have no intention of changing any
2 of that. We don't want to upset any apple carts and
3 people are used to having things done at this
4 particular point in time. It's just a -- we were
5 trying to follow through on the original mandate of
6 the first part of the statute which says: Yeah, you
7 should have the ability to set these things according
8 to complexity, and risk, and safety. Does anybody
9 think we need to put in a floor and say, you know?

10 DR. SCHENKMAN: Well, you can put in there,
11 according to the complexity of the machine not more
12 frequently than annually, or less frequently than
13 every five years.

14 CHAIRMAN JANOWITZ: I think we should just limit
15 this to the solvency -

16 DR. SCHENKMAN: Yeah. That's a different issue
17 than the money issue.

18 CHAIRMAN JANOWITZ: Ray, you had a question?

19 MR. DIELMAN: I'm an optimist of this process
20 but it has been talked about for years, and years, and
21 years. You know, it's got to be now. But there is a
22 risk of bringing this subject up at all in the
23 Legislature. And that risk is it can be associated
24 with significant changes in the entire program covered

1 by the Legislature, not by the Bureau. And also,
2 significant out-sourcing could also impact the Bureau.
3 So the wording should be carefully considered and
4 chosen as DR. Janowitz sort of alluded to. I think
5 you have to be really careful in what you say and what
6 you ask for. Because you can really open up the whole
7 process for significant changes.

8 MR. FUTCH: Ray raises a point. Whenever you
9 propose to change the statute you're opening it up.
10 This is Chapter 404 and this is Section 404.22. In
11 essence, this year we proposed opening it up, and you
12 do run the risk of when it gets downtown someone who,
13 for example, works at a facility that has a
14 diametrically opposite opinion or somebody who just
15 wants to put something on top of it: Hey, you know,
16 this is about money and x-ray machines; I think we
17 need to put in a requirement for national standards
18 for badging personnel at facilities who work in
19 radiation. It's sort of kind of related.

20 But, you know, at this point the points are all
21 noted. And we may have to run the risk of doing it
22 and we will do it in the most effective way with the
23 least amount of risk that we can.

24 DR. SCHENKMAN: I think with this motion, though,

1 it's limiting very -- you know, the wording is very
2 limiting. It is the money issue.

3 MR. FUTCH: Which is basically as DR. Janowitz
4 stated it before.

5 CHAIRMAN JANOWITZ: Any other comments? (No
6 response.) All in favor? (All members say "aye.")
7 Any opposed? (No response.)

8 MR. FUTCH: Okay. The next thing on the agenda
9 was the Specialty Technologist. This language, this
10 thing is marked D-3 with a red tab. This is the
11 language at I believe it was the last meeting. If not
12 the last meeting, the one before. Which we presented
13 to you. This was proposed for discussion and what
14 this does, this allows the Department to issue post-
15 primary certifications to technologists on their
16 licenses. The status right now is we have three types
17 of certifications we can grant to radiologic
18 technologist, for radiologic technologists. That is
19 Radiographer, Nuclear Med-Tech, or Radiation Therapy
20 Tech,, ARRT. And everybody calls those primary
21 certifications. And we also have a basic, but that's
22 not really what we're talking about right here.

23 And then we have the Radiologist Assistant, but
24 that's a higher level than a technologist. We have

1 three types of primary Rad. Tech. certification:
2 Nuclear, Radiographer, and Therapist.

3 The problem we have -- and we've discussed these
4 before and I don't want to go back through all the
5 details -- is that when Nuclear Med. Techs, for
6 example, want to get post-primary certifications in an
7 area like CT, which is not part of their primary
8 pathway, they go to ARRT, they do the prerequisites,
9 they take the exam, they become certified in CT, they
10 come back to Florida and they want to practice CT, not
11 just the limited PET CT that they can do, but full CT,
12 which is what the credential requires. And we can't
13 give that to them in Florida. We have no way of
14 giving that to them in Florida. We can't give them
15 the full radiographer because they haven't qualified
16 for the full radiographer and they have no credentials
17 for full radiographer.

18 So we came up with this mechanism of fixing that,
19 not just for the Nuclear Med Techs, but for all the
20 technologists who are starting to do this business of
21 like, for example, General Radiographers who want to
22 go to NMTCB and get the PET certification which is a
23 much tougher row to hoe. And I don't know if anyone
24 really has successfully done that.

1 MS. BONANNO: Two.

2 MR. FUTCH: Two of them.

3 MS. BONANNO: In the whole country.

4 MR. FUTCH: But this fixes it by sticking a new
5 kind of technologist into the Rad Tech Certification
6 Act called a Speciality Technologist. In past years
7 we've called it Advanced Technologist or Post-Primary
8 Technologist. And it basically says to the
9 Department: You can issue a license to someone who
10 has got one of those post-primary certifications from
11 a national registry by endorsement. You will not be
12 able to grant this by examination so we're not re-
13 creating the wheel, we're not trying to become another
14 pathway for it. We're simply saying people who are
15 already certified and have this from a national
16 registry and they come to Florida and they want to
17 practice, we can put that on a Florida license and
18 they will have the same scope that they do nationally
19 for that particular area.

20 Previously you voted unanimously to support this.
21 I really propose no changes to what we submitted last
22 year and what you previously voted on but I just
23 wanted to make you aware of it. And perhaps get a
24 vote that we try again this year for this exact same

1 legislation.

2 MR. RICHARDSON: Did this die in committee?

3 MR. FUTCH: This one? I don't even know if it
4 made it downtown. The Department has, as a state
5 agency, Florida Department of Health, in addition to
6 20,000-plus people, responsibilities for things like
7 swine flu, and Chinese drywall, and, you know, all
8 these other things that -- what happens at legislative
9 time is the Governor's staff typically will say: You
10 have five issues that you can propose, or ten issues
11 that you can propose, or whatever. So come show them
12 to us and we will come to some sort of agreement on
13 which ones will proceed downtown. And typically there
14 is something much bigger that needs to be fixed than
15 this. And so this doesn't really make it anywhere.
16 And that's what happened to it.

17 DR. SCHENKMAN: Are they going to have on their
18 certificate, on their license, a separate designation?

19 MR. FUTCH: No.

20 DR. SCHENKMAN: Do they get two licenses? How
21 does it work?

22 MR. FUTCH: This legislation allows us to come up
23 with additional initials. I think it says we are
24 supposed to pay attention to what the initials are

1 from the national registry. And if there is no
2 conflict with any other Florida initials, use those.

3 So, for example, what it would do is, if you are
4 a Radiographer in Florida or a Nuclear Medicine Tech,
5 and you have CT from ARRT on your Florida license,
6 after it says Certified Rad. Tech. General
7 Radiographer, it will say CT. It will just be another
8 set of initials to apply to your Florida license.

9 MR. RICHARDSON: I think this is important in
10 legislature because it brings Florida in line with the
11 National Certification Board.

12 MR. FUTCH: And the longer things go the more and
13 more this seems to be a problem for more and more
14 technologists.

15 DR. SCHENKMAN: Yes. Very frustrating for them.
16 Very frustrating to them.

17 CHAIRMAN JANOWITZ: We have more and more hybrid
18 machines. I talked to someone --

19 MS. BONANNO: It saves the radiologists a ton of
20 money. You don't have to have two tests.

21 MR. FUTCH: The Nuclear Medicine Techs especially
22 are frustrated by this.

23 DR. SCHENKMAN: Very.

24 MR. FUTCH: It's been, oh, I don't know how many

1 years, three years, probably longer that we've been
2 trying to do something about this. And every year we
3 have this conversation: Oh, we're going to submit this
4 to the Legislature, there's hope for you. And every
5 year it doesn't go anywhere. But there are a lot of
6 folks out there -- this is my fear.

7 DR. SCHENKMAN: Well, can we get support from the
8 Nuclear Medicine Society and from ARRT to help get it
9 in front of somebody?

10 MR. FUTCH: Any society, interest group that you
11 folks know or that we can approach, let us know.

12 DR. SCHENKMAN: I mean, any lobbying group.

13 MS. BONANNO: We need a state lobbying group.

14 DR. SCHENKMAN: To push it, yes.

15 MR. FUTCH: The state ones would have more effect.

16 MS. BONANNO: Yeah, than the national. The
17 national supports, but they don't have a lobbyist that
18 will come to Florida.

19 DR. SCHENKMAN: It needs to be the Radiologists,
20 really.

21 CHAIRMAN JANOWITZ: I don't think there is a
22 functioning nuclear medicine physician on there.

23 MS. BONANNO: But there is a Radiologist.

24 DR. SCHENKMAN: But I think, you know, that might

1 be the way to get it in front of committee instead of
2 just, I mean, it hanging around.

3 MR. FUTCH: I think it is important that we have
4 the support of the council behind this specific piece
5 of legislation so we can say to folks: Look, this is
6 our council, this is how it is configured, and we
7 talked about this until we are blue in the face and
8 this is what we think would be good. We could try
9 something else but this is something we've all agreed
10 to.

11 It's probably not going to get official -- I
12 mean, because of all the things I just described and
13 all the different issues, it may not ever be one of
14 the things the Department is able to go and try and
15 seek a legislative sponsor for. I'm not saying that
16 they will oppose it. They will probably tell us: If
17 you can go find a sponsor, go ahead.

18 So, in order for this to actually ever happen, it
19 is probably going to take us finding -- and when I say
20 "us," I mean you a group out there, FMA, Florida
21 Hospital Association, you would think they would have
22 some interest in this, Florida Radiological Society,
23 whoever cares enough about this --

24 MS. DROTAR: Radiology Technology Schools.

1 MR. FUTCH: Yeah. -- who cares enough about this
2 to go and try and get it sponsored. But that's
3 another point. At this point I think if we can get at
4 least a vote on it, that would good.

5 MS. BONANNO: Now, you know I support this so I
6 support this. So I move that we support this again
7 next year and try real hard to find somebody to
8 introduce it to the Legislature.

9 MR. FUTCH: Maybe you should repeat that because
10 somebody's phone was going off and I'm not sure
11 everybody heard it.

12 MS. BONANNO: I move that this Council again
13 support this action to allow advanced practice nuclear
14 medicine, or Radiologic Technologist to be certified
15 in the state of Florida.

16 MS. DROTAR: Second.

17 CHAIRMAN JANOWITZ: Any discussion? (No
18 response.) All in favor? (All members say "aye.")

19 MS. DROTAR: If we are at the Department level,
20 and this is at the Department level, can you get us
21 copies of these so that we could maybe look for
22 support?

23 MR. FUTCH: Yes.

24 MS. DROTAR: Because if we don't have these

1 things, it's a moot point.

2 MR. FUTCH: Well, one of the points -- one of the
3 reasons that we're handing this out and we're talking
4 about at this meeting is so you have it as part of
5 this official meeting. We will be -- typically they
6 ask us for new legislation in July, August, sometime
7 around then. And then it officially gets decided upon
8 and submitted later on in the year, October, in that
9 time frame.

10 But yes, this is a public meeting and these
11 documents are public records. Anybody who wants to
12 can request this and you've all got copies of this.
13 This is what exactly, as far as I can tell, unless
14 somebody tells me otherwise, what I am going to be
15 submitting again this year. You've got it, Kathy.

16 MS. DROTAR: Uh-huh.

17 MR. TINEO: If you know, is this part of the e-
18 mail or -

19 MS. LIVINGSTON: Yes, I did send it.

20 MR. FUTCH: And if not, I can send it to you.
21 I've got it.

22 MR. TINEO: I've got it.

23 MR. FUTCH: Future issues. I'm drawing a blank
24 actually right now. Is there anything else?

1 CHAIRMAN JANOWITZ: I'm just wondering. I think
2 everyone here is aware of the Care Bill in Congress.

3 MR. FUTCH: Maybe. Some folks aren't; I don't
4 know Vicki is.

5 CHAIRMAN JANOWITZ: Well, the Care Bill is a bill
6 to set some national licensure standard, standards for
7 radiologic technology. Currently I would have to say
8 there are no requirements for basic x-ray
9 technologists. So this is something the technologists
10 have been working on for years. Last year I think it
11 got close and Congress did not pass and I know there's
12 another big push this year to get it passed. I think
13 eventually it will get passed and I'm just wondering
14 how that's going to affect things like basic machine
15 operators.

16 MR. FUTCH: Well, I haven't looked at this current
17 legislation but last year or the year before we looked
18 at it. And I think, if I remember right, what it does
19 is it directs the Secretary of Health and Human
20 Services to write regulations to specify minimum
21 standards, basically.

22 I have to go and research it again to figure out
23 the exact. My impression was there were still some
24 loopholes that would allow states that had basics to

1 continue with them as they had been, but I think they
2 are still going to have -- the key for Florida, as you
3 know, for Florida basics are not required to attend a
4 formal educational program. They do a -- they can,
5 but they also can do a self review of about a 400 page
6 study guide from Elsevier called Radiography
7 Essentials for Limited Practice. And when they review
8 that then they can go ahead and sit for the test.

9 That's the key part and my recollection was there
10 was still some sort of delayed implementation time
11 frames in the draft set of rules that I saw. But the
12 key would be what does the Secretary of Health and
13 Human Services write into the regulations. The law
14 directs he or she to write something. What comes out
15 will probably be the result of some big lobbying
16 between the states that don't have anything and the
17 states that have something and so forth. Where that
18 ends up only politics knows.

19 But I think it would put a pressure on Florida
20 to impose some sort of minimum educational
21 requirement. And I think that ASRT has on their
22 website for -- and you can correct me on this if I'm
23 wrong -- ASRT has recommended limited scope program
24 and I think it was a -- I want to say a 400 hour, 600

1 hour program, somewhere in that neighborhood.

2 You know, if it was up to me I would, you know,
3 write it to the ASRT standards and see if we could get
4 it passed in Florida with whatever pressure is coming
5 from the Federal Rules, once they get written.

6 Does anyone think there would be any reason --

7 DR. SCHENKMAN: And this is only for basic?

8 MR. FUTCH: No.

9 DR. SCHENKMAN: No. Is it still --

10 CHAIRMAN JANOWITZ: For everything.

11 MR. FUTCH: It's for everything but it wouldn't
12 affect us because we're pretty close to national
13 registry standards for all the other areas. It's just
14 the limited scope that there is the biggest
15 divergence.

16 Of course, now the states to the north of us that
17 have absolutely no requirements for imaging at all, it
18 would affect them also more directly.

19 MR. RICHARDSON: I think that there needs to be
20 some sort of education. Originally when Florida's law
21 was written, wasn't it written into the law that the
22 physician provider was supposed to provide the person
23 with some training?

24 MR. FUTCH: I've never seen anything in a

1 regulation or a statute that explicitly said that. But
2 the institutional knowledge, from my predecessors and
3 my colleagues, is that it was essentially that was
4 supposed to happen. The Medical Association lobby
5 wanted the DR.s to be able to go and take a Medical
6 Assistant, for example, give them the study guide,
7 have them review it, do all the didactic learning, so
8 to speak, in that fashion, sit and pass the test, and
9 then the DR. would show them the hands-on clinical,
10 you know, here's what you do with, you know,
11 positioning.

12 That's my understanding of it. I can't point to
13 anything, Tim, that says that.

14 MR. RICHARDSON: I think ethically it would have
15 to be that way.

16 MR. FUTCH: Well, you know, but I don't know close
17 we are to that standard anymore. There's a wide
18 variety of backgrounds of folks who come to take the
19 basic exam. And I tell this to people when they call
20 up, a small hospital or somebody wants to use a basic
21 machine operator. They inevitably make the assumption
22 that there is this uniform educational background of
23 the person. And I explain to them there is a couple
24 of different ways you can do this and here is the one

1 that's required by the state.

2 MR. RICHARDSON: I get probably two or three calls
3 a month from DR.'s offices in Marion County asking me
4 to be able to train somebody in their office. And I
5 say, "Well, you can enroll for the first 650 hours of
6 our two-year program." And if I remember correctly,
7 that was the original amount of education that was
8 supposed to be provided, was 650 hours.

9 DR. SCHENKMAN: Is that with the --

10 MR. RICHARDSON: It can't be done in a two week
11 seminar.

12 MR. FUTCH: Yeah, that's like several months.

13 DR. SCHENKMAN: That would be ASRT requirements
14 are? Do you know?

15 MR. RICHARDSON: That was Florida's requirement
16 out of the Department of Education when they first
17 wrote the particular framework.

18 MS. BONANNO: I was involved in that lobbying.

19 MR. FUTCH: Kathleen, do you remember the ASRT's
20 limited scope number of hours for the program?

21 MS. DROTAR: I don't remember the specific number
22 of hours there; however, the book that we used or that
23 was recommended was the Essentials that had in each
24 chapter a specific number of hours that should be used

1 for didactic purposes. And that was one of the things
2 that we had looked at previously that we use the
3 recommended number of hours in the text because the
4 book written by Bruce Long was consistent with the
5 ASRT limited scope curriculum.

6 MR. FUTCH: I want to say somewhere -- it was
7 either the ASRT website or maybe it was the book -- I
8 went through and added up a bunch of hours and I think
9 it came to like a four-to-six month program.
10 Something like that.

11 MS. DROTAR: I think that's what we looked at, a
12 possible six months program with training and some
13 other having the physician that they worked with or
14 were working with also determining the competency
15 level.

16 MR. FUTCH: There is another issue to this and
17 that is that there is kind of a chicken and egg thing,
18 I think, my personal opinion. Because we don't have a
19 requirement for a formal educational program there
20 really aren't any public ones. There used to be one.
21 Every once in a while like a new one will kind of come
22 into existence and then it will fade up and the number
23 stays around, zero. For public programs. There are
24 a few -- I can't remember the total -- ten, fifteen

1 private programs, I think, around the state.

2 MR. RICHARDSON: Isn't the number of rural
3 hospitals down? It used to be fourteen rural
4 hospitals that would hire BMO's.

5 MR. FUTCH: I couldn't tell you. I don't know.

6 MR. RICHARDSON: I think that is much fewer now.
7 There's not that much of a demand for them.

8 MR. FUTCH: So, are we heading someplace in
9 particular with this discussion. Do you want to try
10 to get a vote or something as far as Care bill? Do we
11 know enough about it?

12 MR. ARMSTRONG: Before we go on --

13 MR. FUTCH: I'm sorry.

14 MR. ARMSTRONG: Before we go on with this bill how
15 would this affect the limited provisory x-ray?

16 MR. FUTCH: Well, we would still -- well, ours, I
17 would assume, since the sub-category of the full
18 limited basic. We have the basic and then we have a
19 more limited version, which is the limited basic
20 podiatric medicine. I would assume that whatever
21 happens with the Care Bill, Health and Human Services
22 would eventually affect that.

23 The question for me is, you know, in Florida,
24 podiatric medical lobby several years ago created its

1 own certified podiatric -- Assistant Certified
2 Podiatric Medical Assistant, something like that. And
3 the number of people who have been applying have
4 really been mostly going to that pathway for the past
5 several years. You haven't seen probably hardly any
6 (basic)podiatric.

7 MS. GRANT: I haven't seen --

8 MS. CURRY: I've had a couple in the last six
9 months.

10 MR. FUTCH: I would assume they would be also
11 affected by the Federal Regulations in the Podiatric
12 Assistants that's underneath the Board of Podiatry.
13 However, that is not our purview and we would not have
14 anything to do with what happens with the Board of
15 Podiatry certification.

16 I'm actually kind of confused or surprised that
17 when that one was created (the podiatric assistant)
18 they didn't just do away with the other one (the basic
19 podiatric) and give everybody who had that
20 certification that certification, but that hasn't
21 happened. So we actually have two certification if
22 you want to become licensed to do podiatric x-rays in
23 Florida.

24 CHAIRMAN JANOWITZ: Do we want to pass a motion

1 supporting the passage of the Care Act?

2 MS. BONANNO: I move that we support the passage
3 of the Care Act.

4 MS. DROTAR: I second it.

5 CHAIRMAN JANOWITZ: Any other discussion? (No
6 response.) All in favor? (All members say "aye.")
7 Any opposed? (No response.)

8 MS. BONANNO: It has not been introduced yet?

9 CHAIRMAN JANOWITZ: No. But I was in (Washington)
10 DC two weeks ago.

11 MS. BONANNO: Were you at the RC weekend?

12 CHAIRMAN JANOWITZ: What?

13 MS. BONANNO: Were you at the RC weekend?

14 CHAIRMAN JANOWITZ: No. This was the SNM had a
15 lobbying group.

16 MS. DROTAR: Just a side note on that. There are
17 several senators and representatives that have come
18 out and supported the bill in different states and
19 Florida, one of the largest care givers, doesn't have
20 anybody that has come out in support of it.

21 DR. SCHENKMAN: Would it be possible to have a
22 meeting, maybe later. I mean, just so we know what's
23 in it?

24 MR. FUTCH: We could try and -

1 MS. DROTAR: It's on the ASRT website.

2 MR. FUTCH: The ASRT website?

3 MS. DROTAR: The ASRT website and there's a link
4 to that and also to the Image Gently web site.

5 MR. RICHARDSON: I think you have to go under
6 government regulations and then there is a whole
7 section to the Care Bill.

8 MS. BONANNO: You can go SNM.org also and can
9 instantly write a letter. All you do is put in your
10 zip code and it pulls up **

11 DR. SCHENKMAN: No, I'm just saying see what's
12 exactly in the bill.

13 MR. FUTCH: The current inclinations. And you
14 never know what the regulations are going to look like
15 either.

16 MS. BONANNO: Last year they took out that part
17 where they wouldn't get the Medicare funds if they
18 didn't comply.

19 MR. FUTCH: Well, I believe that's it for the
20 legislative issues. And with your permission we will
21 move on to E. which is the MQA program update and
22 Vicki Grant. Vicki?

23 MS. GRANT: Just a little background about our
24 office. We currently have radiologic technologists.

1 We also license EMT's, paramedics, and medical
2 physicists. We are pretty busy. So just to give you
3 a couple of numbers that come through our office, from
4 the last -- from January the 1st through April the 27th,
5 we received 845 Rad Tech. applications and of that
6 845, 809 have been scheduled or have received a
7 license. So we're pretty busy.

8 We have also received within that same period of
9 time 3200 renewals and we really, really encourage the
10 on-line process. It is a very self-gratifying, pay
11 your fees. That's why it is important that you get
12 your CEU's. They get dumped into our system so you can
13 see that on-line application.

14 We have also processed about 1900 miscellaneous
15 transactions. That is request for duplicate, someone
16 has moved or changed their name, and we're issuing a
17 duplicate license for that.

18 I'm going to defer the fee increase discussion
19 after we talk about the applications, if that's okay
20 with you.

21 MR. FUTCH: I would like to talk about the ASRT
22 business, too.*

23 MS. GRANT: Yeah. The only other information I
24 think that -- we sent out a letter to all of our

1 educators explaining the new ARRT application process
2 which now ties with our process. There has been a
3 little confusion so we did put a little screen shot,
4 how you do it, where you go, what you do when you get
5 there in the packet.

6 And the most discouraging that I will have to say
7 today is that we had planned to have the Rad Tech
8 application on line by February. During the last
9 session the Board of Pharmacy was given the task to
10 license 30,000 pharmacy techs by on line application
11 by January. So we kind of got bumped to the back. As
12 long as we can keep our business processes going I
13 think we're doing it within an incredibly small amount
14 of time. But when we get to on-line applications,
15 that is going to be fabulous.

16 We are going to be having our on-line application
17 status check within the next six months. You apply;
18 you will be given a password and ID; you can follow
19 the status of your application through the entire
20 process.

21 And this is Gail Curry. Gail is the supervisor
22 and actually runs our Rad. Tech. program. So if
23 anybody ever has any questions you can call Gail or
24 myself.

1 MS. CURRY: Do you want to make mention that they
2 can get their results on line?

3 MS. GRANT: That process also does show you a link
4 where your students can go to get their actual exam
5 results. James is working with me to make sure our
6 site is accurate and cleaned up. And I think that
7 will happen in the next couple of days.

8 And other than that I don't have anything else
9 for you.

10 DR. SCHENKMAN: Is this third page which says
11 "Sample Only," does that come from whatever Rad.
12 school they come from?

13 MS. CURRY: Yes. That will be the graduation
14 letter. And we are asking now that instead of letters
15 that they use them -- I mean, instead of lists of
16 graduates that they send us individual letters so that
17 we can place those letters in the individual spot.

18 MR. FUTCH: You discussed that, I think, last
19 time.

20 DR. SCHENKMAN: Great. So this is going to come
21 from -- this sample letter, or whatever the final
22 letter is, would come from the school directly to you
23 but not through the student?

24 MS. GRANT: Correct.

1 MS. CURRY: Correct. And it is just a sample. I
2 mean, they can format it any way they want to. And I
3 did place in there just the information that we need
4 on the letter. They can do it however they want to.
5 I don't have a problem with the format.

6 DR. SCHENKMAN: Wouldn't it be easier just to have
7 a form letter?

8 MS. CURRY: Sure.

9 DR. SCHENKMAN: I mean, easier for you and easier
10 for them.

11 MR. RICHARDSON: This is my form letter.

12 DR. SCHENKMAN: I mean, then you have what you
13 need and you're not getting ten pages written by
14 somebody about somebody that you're going to have to
15 go through and pick everything out.

16 MS. GRANT: Correct.

17 MS. CURRY: Well, in the past it's been a very
18 simple format for the providers. They'll just send me
19 the little blip that says: This is the date they
20 graduated; this is the date they took the mandatory
21 course. And they would just give me a list of names.

22 MR. FUTCH: So really what is changing is you just
23 don't want the list. You want it per person.

24 MS. CURRY: Uh-huh.

1 MS. GRANT: We want to be able to include in each
2 licensure file a copy to indicate that person truly
3 completed the course. A list, it makes too much work
4 for the staff to have to redact everybody's name other
5 than that one person, if there's fifteen or twenty.

6 MR. RICHARDSON: Can I send these to you
7 electronically?

8 MS. CURRY: Yes. In here the letter that -- this
9 letter that's in your packet, it does have the ZZZ
10 (email) box that you need to send those to. That way
11 if I don't happen to be in the office for some reason,
12 Vicki or Bessie has access to that box so they can
13 pull those on that same day that you sent them.

14 MS. DROTAR: I just went through that process with
15 Gail because I had fifteen students who graduated in
16 April. And it is not a big deal with the letter
17 because it is the same letter. It is just changing
18 the student's information. I like the idea of
19 individual letters because it becomes an issue of
20 student's confidentiality again where we don't have
21 birthdays and Social Security numbers involved. And
22 that way, when they copy them I can e-mail the whole
23 document. I had fifteen letters together, e-mailed
24 those documents to Gail and it was a smooth

1 transition. She e-mailed me. I got a response the
2 same day that they had received it and was beginning
3 to process them.

4 So, to me, as Program Director, it worked as
5 planned. It was the same thing I had been doing except
6 that it was mailed for each individual and was
7 targeting each.

8 MS. CURRY: We appreciate that.

9 MS. DROTAR: And then the next part, how long is
10 that part of the process?

11 MS. CURRY: That's okay. Are you talking about
12 the temporary part, how long does it take?

13 MS. DROTAR: Yes.

14 MS. CURRY: If the application is approved up to
15 the point of waiting for the validation of graduation,
16 they will be approved the same day as of this letter.
17 They will be approved for a temporary the same day.

18 MS. DROTAR: Okay. So how long of a lead would
19 you need for them sending in the application ahead of
20 time in all that process and then when they get the
21 letter, how much of a lead time would you need for
22 processing an application?

23 MS. CURRY: I would send it in a month. A month,
24 ahead of time. Now, be aware that they are going to

1 get that letter that says: Your application is
2 incomplete because we've not received proof of
3 graduation. That's a letter that goes out
4 automatically because it is a new application. They
5 don't need to panic because as soon as you guys send
6 us those letters we're going to go ahead and take care
7 of it.

8 DR. SCHENKMAN: Do they take the examination
9 before they graduate?

10 MR. RICHARDSON: They have to complete the program
11 first.

12 DR. SCHENKMAN: And then if they pass the
13 examination then you send the letter?

14 MS. CURRY: No.

15 MR. RICHARDSON: I send the letter the day they
16 actually complete the program.

17 MR. FUTCH: And that allows Gail to release things
18 so they can go take the exam.

19 MS. CURRY: Right.

20 MR. RICHARDSON: We've got to hold up the
21 application until the last piece comes in, basically,
22 which they are allowed to temporarily work until they
23 pass.

24 MS. CURRY: Yes, get a temporary license.

1 MS. DROTAR: There's two different mechanisms
2 because one they apply for a temporary license. The
3 other is by endorsement after they've got the
4 registry, after the results in, then they can apply
5 for it. If they want to work then they have to select
6 a different mechanism.

7 MS. GRANT: That's all I have.

8 MR. FUTCH: Thank you.

9 MR. RICHARDSON: Did you say there was a specific
10 drop box that I could send this? I do not see it.

11 MS. CURRY: If you will look at the second page.
12 It is the last, under number three, second line would
13 say MQA under score Rad Tech that's where you're going
14 to send it

15 MR. RICHARDSON: So I could make one file or PDF,
16 scanned into a PDF?

17 MS. CURRY: Uh-huh. Actually it's better that way
18 because I've been getting individual files, individual
19 documents for each person. I have to go in and save
20 each person's document one at a time to an electronic
21 file. So if I can get it as one document with all the
22 letters in it, it saves me a lot of time.

23 DR. SCHENKMAN: That CD is something you might
24 want to send out to, you know, references.

1 MR. RICHARDSON: I'm not sure my server will
2 handle all of that. We'll see. We've got twenty-five
3 students.

4 MS. CURRY: And if it doesn't, just keep doing it
5 the way you're doing it. That's perfectly fine.

6 DR. SCHENKMAN: And were you going to go over the
7 fees?

8 MR. FUTCH: Let me just make -- Vicki wanted to
9 talk about the fees, which are the last thing in
10 Section E here. In combination with the new forms
11 which are down in the second bullet in, Item F. So
12 what I'm going to do is why don't we just take these
13 forms out of order and combine them with your
14 discussion of the fees and let's doing them now.

15 CHAIRMAN JANOWITZ: You have plenty of time before
16 lunch.

17 MS. GRANT: It seems that I've been working on
18 these applications for a while. So I think the
19 applications are the way we want them. But while
20 we're looking at them we need to talk about the fees.

21 This profession is in a deficit to MQA. MQA
22 receives the application and the money and the money
23 is deposited into the Environmental Health trust fund.
24 So MQA is the one that pays for the initial and then

1 they send us a check in the end. Unfortunately, the
2 amount that we're charging for our current application
3 is not enough to pay for the actual process.

4 So James was kind enough to sit down with me and
5 Les* and discuss it and we have some proposed fee
6 increases. It does take it to the cap in several of
7 these instances.

8 We would like to move the Rad Tech application
9 fee from \$50 to \$100. Any subsequent exams -- these
10 are re-takes -- it takes the same amount of time to
11 process a re-take application as it does to process a
12 new application. And we would like to raise it to
13 \$75. The endorsement application is \$45, the cap is
14 \$50. We would like to raise it to that. And our
15 current renewal is \$55 and we would like to raise that
16 to \$75.

17 DR. ATHERTON: Is there anything that is not at a
18 cap?

19 MR. GRANT: Yes.

20 MR. FUTCH: You mean in a separate proposing
21 increase?

22 DR. ATHERTON: These are all up to the cap.
23 Right?

24 MR. FUTCH: They would be with these changes, yes.

1 These changes take all these to the cap.

2 DR. ATHERTON: Doesn't that mean that with these
3 changes there are some fees that won't be taken to the
4 cap?

5 MR. FUTCH: Well, we're not proposing to change
6 some of the other fees. For example, there is a fee,
7 currently a fee of \$40 to set your current active
8 license to an inactive status. We're not proposing
9 any changes to that. There are a couple of others.

10 MS. GRANT: An additional certification, when you
11 renew with additional speciality.

12 MR. FUTCH: On the renewal fee, the \$55 is just
13 for the first certificate. Those people who were
14 certified in three areas, such as Ms. Drotar down
15 there, we're not --

16 MS. DROTAR: \$135.

17 MR. FUTCH: The second certificate is always --
18 the way it is right now it is \$55 for the first and I
19 think it is \$40 for each additional.

20 MS. GRANT: \$40.

21 MR. FUTCH: And we're proposing only changing the
22 initial, the one certificate going from \$55 to \$75.
23 The additional would still be \$40 so we're not
24 proposing any changes for that. So, yes. To answer

1 your question there would still be some that are not
2 at the cap. It's just not these.

3 I'll be honest with you. Let me give you a
4 breakdown in dollars and cents. It costs us between
5 the pieces in radiation control, like to do the CE,
6 and our inspectors to go out and check things in the
7 field, in Vicki's portion in MQA, it costs us about
8 \$1.3 million a year total to run the program. I
9 forget exactly how much we are bringing in right now
10 but I think it's about, I want to say \$800,000 or
11 \$900,000.

12 MS. GRANT: Right.

13 MR. FUTCH: These changes which take these things
14 to the cap still would not completely eliminate that
15 deficit. It would still be like \$100,000, \$150,000,
16 give or take, deficit. We can't eliminate it.

17 DR. ATHERTON: Why don't you just take everything
18 up to cap?

19 MR. FUTCH: Well, to be quite honest with you,
20 although there are lots of separate fees, almost all
21 the revenue comes in from the renewal fee. There's a
22 multiplier of about 10,000 on the renewal fee. The
23 multiplier on the rest of these are in the couple
24 hundreds.

1 MS. GRANT: Right.

2 MR. FUTCH: So, it really almost doesn't matter
3 what you do with any fee except for the renewal fee.
4 That's where you get most of your money from. And,
5 unfortunately, the cap is not that -- it's only a \$20
6 increase before we are at the cap for the renewal fee.

7 MS. GRANT: Every \$20 helps when you're in a
8 deficit.

9 MR. FUTCH: I was trying to look and see when the
10 last time we increased fees were. And if I'm reading
11 this right, I think the last time we changed these
12 fees was in about '94. We made some changes to the
13 fee section of the rule when I took over in '99, '98.
14 But that was to -- that was when ARRT starting doing
15 the on-line computer based testing and their fees
16 started going up.

17 The Legislature changed the law so that the fee
18 that the person pays for the testing organization is
19 separate from all these caps; it's not counted
20 underneath these caps. And actually, these days, it
21 doesn't even come to us. They go straight to ARRT
22 with that.

23 CHAIRMAN JANOWITZ: I'm a little concerned that
24 the people least able to afford these, who are the Rad

1 Tech's initial applications, are the ones, they were
2 the biggest increase. I just wonder if there was a
3 way to make it a little more gradual than a 100
4 percent increase?

5 MS. GRANT: MQA is open to work with raising the
6 fees however you see fit. It's just I think part of
7 the concern is the rule process takes so long. Once
8 we start this process it could be six months before it
9 ever comes to fruition. Something could've happened
10 in that time that makes us do something different that
11 increases the cost that MQA has to spend.

12 MR. FUTCH: And actually if you look at the
13 initial application fee -- and Vicki, you could
14 probably help me out with this. But I did this about
15 six months ago and looked at the initial application
16 fee for this particular profession and compared it to
17 the other thirty-nine professions that you guys took
18 care of, including the nurses and the rest of them, we
19 worked -- and even with this increase I think we're
20 still on the lower end of what they charge for most of
21 the other professions. The nurses I think are -- do
22 you remember what the nurses are?

23 MS. GRANT: I think the nurses raised theirs this
24 past year, too. It's very low. I know EMT's and

1 paramedics are low, also.

2 MS. DROTAR: I think it was a 2.5 increase.

3 MR. FUTCH: So even after -- the sense I'm trying
4 to give you is even after all this -- although DR.
5 Janowitz is completely correct, honestly it's a 100
6 percent increase -- we still end up not anywhere near
7 the middle of the pack in terms of all the different
8 professions, at least in Florida. And I have no idea
9 what it would be compared to the other states.

10 DR. SCHENKMAN: What does it take to change the
11 cap without legislative?

12 MR. FUTCH: Well, we haven't actually proposed
13 that. It is something to think about, I guess.

14 MR. GUIDRY: Your low application fee is by design
15 intended to be financed by your renewal fee. This is
16 a low application fee.

17 MS. GRANT: Very low.

18 MR. GUIDRY: For an exam to practice a profession.
19 That's something that is by design. You wanted it to
20 be that way.

21 DR. SCHENKMAN: But that's not the exam. That's
22 the application fee. The exam is separate.

23 MR. FUTCH: The exam is separate. The exam is
24 another --

1 CHAIRMAN JANOWITZ: The exam is separate.

2 MR. FUTCH: There is the exam fee to ARRT of \$100.
3 That is in addition to this application fee to the
4 Department.

5 MS. GRANT: Right.

6 MR. FUTCH: ARRT - basically they're paying the
7 subcontractor directly \$100 on top of whatever we
8 charge. So right now the total cost to the person is
9 \$150 and it would go to \$200 if you count also the
10 actual exam fee to ARRT.

11 MS. GRANT: This profession doesn't charge a
12 licensure fee either. I mean, the majority of the MQA
13 professions charge an application fee that is non-
14 refundable. They charge an application -- I mean, a
15 license fee as well as part of the application and you
16 probably remember that very well.

17 MR. GUIDRY: The renewal fee is annual?

18 MR. FUTCH: It's every two years.

19 MR. GUIDRY: Every two years.

20 MS. DROTAR: When they first get their -- when
21 they get certified by DOH, to begin with their first
22 initial can be longer than two years. If it's after -

23 MS. GRANT: No longer than twenty-four months.

24 MS. DROTAR: The fact it is just a \$100, it's \$75

1 to renew it and that's only \$25 different.

2 MR. FUTCH: What Kathleen is saying, is their
3 first license, if they catch it right, depending upon
4 if they apply just after their birthday, it will be up
5 to twenty-four months before they have to renew. And
6 it has to be at least twelve months, the first
7 license.

8 DR. SCHENKMAN: What does the license fee do?

9 MS. GRANT: The license fee for MQA actually pays
10 for the maintenance of our COMPAS database system.
11 That's a huge cost that each of the boards share.
12 There are other fees for investigation cost.

13 MR. FUTCH: Unlicensed activity,.

14 MS. GRANT: Unlicensed activity. For MQA we
15 collect an additional \$5 for the unlicensed activity.

16 MR. FUTCH: I should mention that. All the other
17 health professions, except for EMT, too. They're not
18 doing it either, are they? Have several other kinds
19 of fees that don't apply to this simply because of
20 where these folks reside in the statutes. They are
21 kind of off by themselves. EMT's and Paramedics are
22 off by themselves and everybody else is underneath
23 MQA's explicit statute Chapter 456, which provides
24 among other things, for a fee of \$5 per license that

1 they use to fund all the people who are investigating
2 with the law enforcement, the unlicensed activity that
3 goes on in the state of Florida. I don't know if
4 you've ever -- we get these --

5 MS. GRANT: We've seen the billboards around the
6 state, we're talking about unlicensed activity.

7 MR. FUTCH: We see it a lot because we are inside
8 the Department. But we get I don't know how many e-
9 mails a day, a week, press releases from the
10 Department of Health: "Department of Health announces
11 arrest of So-and-So for practicing dentistry without a
12 license in Miami."

13 CHAIRMAN JANOWITZ: No, that never happens.

14 MS. GRANT: A lot with the massage.

15 MR. FUTCH: Massage/prostitution. All of that is
16 paid for by a dedicated fee for all the other
17 professions but us. We pay for it out of this, out of
18 these moneys, basically, because we don't have any way
19 of charging a separate unlicensed activity fee for
20 that. So when they go and do the investigation for
21 *Ad tax, or when Radiation Control does it, that has
22 to come out of this pot of funds.

23 DR. SCHENKMAN: Are we getting near the point now
24 where we should re-look at eventually bringing these

1 caps up by legislation or putting everything under MQA
2 instead of having it separate? I mean, maybe it's
3 getting to the point where we should start looking
4 into that in the future.

5 MR. FUTCH: You know, one of the things that we'll
6 probably be doing this July-August, is looking at
7 whether we should be increasing those caps or doing
8 something like that for Rad Tech. I don't think we've
9 talked about it yet but --

10 MS. GRANT: Well, we haven't talked about merging.

11 MR. FUTCH: You'll see it.

12 DR. ATHERTON: We're going to have to, I think.

13 DR. SCHENKMAN: I mean, it just seems like it is
14 getting to the point --

15 MR. FUTCH: I like the notwithstanding little
16 blurb myself. You know, directly attacking caps. We
17 could say: Notwithstanding any other law to the
18 contrary but the deficit we've incurred -

19 MS. GRANT: This is just allowed for a lot of the
20 MQA professions to, for example, I had the
21 Chiropractic Board for about eighteen years. And they
22 recently, in the last three or four years, said that
23 you will charge the cost to examine each candidate.
24 So exam fees may have gone from \$200 to \$600 or \$700

1 because it actually costs that much because at the
2 time it was Department-generated examination. So we
3 had -- like a nutrition an entire testing unit so we
4 had to absorb those costs for the Chiropractic
5 profession. And it did significantly raise their fee.
6 That's what (Chapter) 456 does allow. If this group
7 were under 456 then we would be able to more closely
8 collect what it actually costs to license and manage
9 this profession.

10 MR. FUTCH: Does anyone have any questions on the
11 forms? I should mention the current Rad. Tech
12 application form is a single form. It is DH Form
13 1005. And the overall summary of what is happening is
14 we are splitting the basics off into their own
15 application, which is Form 1006, which is the one up
16 at the top that says: Application for Basic X-ray
17 Machine Operator. This is at Tab F-2, Blue F-2. So
18 that's the biggest change and why we are holding two
19 applications in our hands, so that one is for basics
20 and one is for everyone else.

21 Of course now if the Care bill passes and we go
22 back to actually requiring education for some point
23 and so forth in the future then a lot of the reasons
24 for separating these two will be gone and we'll be

1 back to one application.

2 MS. BONNANO: Isn't the fee increases - they will
3 be the safe?

4 MS. GRANT: Yes.

5 MR. FUTCH: And the other -- what else did we do.
6 Oh, because of the laws on confidentiality and Social
7 Security numbers, the current Rad. Tech application
8 has the Social Security number actually right on the
9 front of the form with all the other personal data.
10 The MQA, if you go to page three of I think either one
11 of these applications, it looks like this. They
12 basically just separate on a separate sheet of paper
13 the Social Security number information so they can
14 separate it out from the file and treat it in a more
15 secure manner so it doesn't actually gets mixed in
16 with something and ends up on line. Because you can
17 look up this stuff on line.

18 MS. GRANT: Correct.

19 MR. FUTCH: Any other major changes?

20 MS. GRANT: I think that's it other than the
21 directions on how to apply to the ARRT.

22 MR. FUTCH: Yes.

23 MS. GRANT: For state licensure.

24 CHAIRMAN JANOWITZ: Do you need a resolution about

1 the fees?

2 MR. FUTCH: Yeah. You want one?

3 MS. GRANT: I would like one.

4 MR. FUTCH: How do you want it said? Compose,
5 please.

6 MS. GRANT: Somebody could move to accept the
7 proposed fee increase. That would be fabulous.

8 DR. ATHERTON: I so move.

9 MR. FUTCH: And the forms.

10 MS. GRANT: And the application form. That would
11 be great.

12 DR. ATHERTON: And the application forms.

13 DR. SCHENKMAN: Second.

14 CHAIRMAN JANOWITZ: Any other discussion? (No
15 response.) All in favor? (All members say "aye.")
16 Any opposed? (No response.)

17 MR. FUTCH: And the last thing I have in this
18 general vicinity is I wanted to tell you about a
19 project we've been working on with ASRT. First of
20 all, right now it is about 11:20. And, Janice, are we
21 going to leave about 11:40? Is that enough time?

22 MS. LIVINGSTON: We've got it at 11:45 but I can
23 go down and ask them if we can get it earlier.

24 MR. FUTCH: I think we will be wrapping this up a

1 little bit early.

2 A lot of the technologist don't renew on line
3 even though for a couple of years now I guess it's
4 been available to them that they can renew their
5 licenses through the automated mechanisms. The
6 Department loves the automated mechanisms. It's a lot
7 less staff time; it's much more efficient; it costs
8 less for us to handle the on-line that way.

9 We're not really sure why the technologists don't
10 renew in great numbers on line. I forget the
11 percentages. But we get this warning message from one
12 of the communications people about the middle of each
13 month that says -- it goes out to everybody that's on
14 the distribution list I think which is like all the
15 educators, and all the societies, you know, FSRT and
16 everybody else. This warning goes out and it says:
17 Please, not enough people have renewed for the month
18 of whatever it is, you know, May. If you know someone
19 who hasn't renewed, please encourage them to renew on
20 line.

21 And the percentages are something like twenty to
22 thirty-five percent currently renew on line at the
23 middle of the month. In other words, they are
24 expiring in another two weeks from that point, from

1 the time this is generated.

2 My own personal thought is a lot of the
3 technologist, we did it this way for twenty-some-odd
4 years and I think there is just a lot of inertia.
5 They are just used to doing it on paper form and they
6 can't get away from the paper form. They just fill it
7 out and send it in.

8 And the other things is that they can't renew on
9 line if -- excuse me. They can't renew on line unless
10 they meet certain requirements. And one of the
11 requirements is they have to have enough (continuing
12 education) hours to renew. Makes sense. Right?

13 So to reduce the impediment from not having
14 enough hours to renew, twelve hours to renew in
15 Florida, one of the things that we've started as a
16 project in the ASRT, actually almost a year ago, to
17 transfer some of the technologists ASRT CE courses
18 that are being tracked underneath the ASRT system for
19 national registry purposes into the Florida system to
20 boost the number of hours they are rightfully entitled
21 to to renew, because we accept the national CE hours,
22 to try to get the people up to the magic number.

23 We started this a long time ago. Through a
24 series of fits and starts, and the ASRT had a lot of

1 other projects they were working on, we finally got
2 the first to download. There are something like
3 21,000 CE records waiting to be input into the Florida
4 system, each one of which represents at least a one or
5 two hour CE course. We're starting out with directed
6 readings. I don't know if everybody is familiar with
7 those. But in the ASRT journal, there's a certain
8 amount of CE that's actually contained in the journal
9 that a person reads and they fill out a form and they
10 send it in.

11 We're starting out with that. In fact, directed
12 readings makes up like ninety-seven percent of the
13 total CE that's being tracked for technologists in
14 Florida. So it's mostly going to be directed
15 readings, no matter what.

16 We're still doing some testing with the Florida
17 COMPAS system. It's not underneath our direct
18 control. It is a big major data base with all the
19 usual security protections and hoops to jump through
20 from IT and all this other kind of stuff. But we're
21 working through that and we hope certainly by the end
22 of May to have all of those 21,000 CE records loaded
23 into the system.

24 The technologists will then be able to not only

1 renew if, of course they have enough hours, but they
2 will be able to go on line with a license
3 verification, as they can today, and click their CE
4 tab and see the CE from ASRT reflected in their
5 Florida license on the on-line licensing system in
6 Florida. And it will be indicated -- I think we're
7 calling it ASRT directed readings and then we use the
8 individual course numbers from the national system in
9 the Florida system. So you can directly -- if you're
10 looking at your ASRT printout as a technologist and
11 you're looking at all your CE courses and you see the
12 numbers of each course, you can then go on line in the
13 Florida system and see that exact number and it should
14 match the number of hours you've been credited
15 nationally. That was complicated, wasn't it. Sorry
16 about that.

17 Any questions about that?

18 MS. BONANNO: Will you be able to do that with
19 SNM?

20 MR. FUTCH: We haven't gotten as far with them. I
21 see no reason that it's not possible to do that.

22 MS. BONANNO: They have affirmed to NMTCB. They
23 should be able to affirm to individual states.

24 MR. FUTCH: We just haven't gotten to that stage

1 with those folks but it should be possible to do this.

2 MS. DROTAR: I went on in January you could do it
3 on line but the incentive was because it showed 15
4 credits for a course that I had taken, which I had
5 forgotten about. So it was not that difficult to do.
6 It was pretty quick and easy. But I think your
7 assumption is right that you just are not used to
8 having that and being familiar with it and that you
9 want to make sure that you do it right the first time.

10 MS. BONANNO: Well, I renew my NMTCB dues and SNM
11 dues on line, FNMT dues on line, but that's because I
12 get an e-mail blast from both of them as a reminder.
13 So then I go pull out the credit card and do it. So
14 that might be something if we have e-mail addresses
15 down the road, that's somebody else inputting a lot of
16 e-mail addresses.

17 MR. FUTCH: There is a spot on the form now.
18 Right?

19 MS. GRANT: There is.

20 DR. ATHERTON: Can you create an incentive to make
21 it like \$5 or \$10 cheaper on line or --

22 DR. SCHENKMAN: The incentive is you don't have to
23 pay postage.

24 DR. ATHERTON: Or stop sending them paperwork and

1 make them go on line to get the paperwork to mail in.

2 MS. CURRY: Another incentive also is as you know
3 we have a lot of people that wait until the very day
4 of expiration. And they renew but they --

5 MS. CURRY: They renew but they can also print out
6 the receipt and that acts as a temporary certificate
7 so they can continue working until they get their
8 actual certificate.

9 MS. DROTAR: And you print it out you can also get
10 reimbursement from licensing fees for your employer,
11 which you need to get reimbursed quicker and you can
12 use that to get reimbursement.

13 DR. SCHENKMAN: Transfer R&O CE credits into the
14 state.

15 MR. FUTCH: And this is from which?

16 DR. SCHENKMAN: From AMA. As long as it is an AMA
17 accredited credit through Net CE, it's transferred to
18 CE Broker and it comes up on your -- you know, showing
19 how many credits you have, what courses you took, how
20 many you still need and whatever for your Florida
21 license.

22 MR. FUTCH: The Net CE system is a national system
23 specific to your profession, right, or do you know?

24 DR. SCHENKMAN: I'm not really sure because I'm

1 only in it for the physician side. But it is part of
2 CE broker. Yes, CENC broker.

3 MS. GRANT: Well, this profession out of the ones
4 that we do have is the only profession that requires a
5 hundred percent audit at renewal. The other MQA
6 professions do not. They get downloaded; they get
7 licensed; and then they get audited. So what James is
8 working on for us will help us tremendously to get to
9 ASRT dumped and any others that we can work with and
10 do that would be fabulous.

11 DR. SCHENKMAN: I can check and see if Net CENC.

12 MR. RICHARDSON: How many licensed Radiographers
13 or ASRT members?

14 MR. FUTCH: The number is about half of them.

15 MR. RICHARDSON: I'm amazed.

16 MR. FUTCH: Somewhere in the course of this year
17 long project we asked them and it was 7,000.

18 MS. GRANT: It was a pretty good number.

19 CHAIRMAN JANOWITZ: Do basic operators have to get
20 CE's?

21 MR. FUTCH: Yes, they do. And they're probably,
22 if you look at percentages, it's a little harder for
23 them to get CE's because they don't have as many
24 avenues, people talking about it.

1 They're kind of on the periphery of
2 everything, really. I can't remember if they are part
3 of -- are they part of the -- can they join (ASRT),
4 with limited book work?

5 MS. DROTAR: I think they might be able to join
6 but they are not a member. They might not be
7 certified. I'm not a hundred percent sure.

8 MR. FUTCH: I wonder if their CE's --

9 MS. DROTAR: If there are a lot of other -

10 MR. FUTCH: I was just wondering how many of those
11 people are, how many in the system are basics. I
12 didn't think to check that.

13 MS. DROTAR: Didn't we get an e-mail about a year
14 or so ago that the general or the basic machine
15 operators they were in a downturn of their re-
16 applications?

17 MR. FUTCH: That may have been this thing from
18 Gail Curry, our communications person. We get it
19 every month.

20 MS. DROTAR: Oh, okay.

21 MR. FUTCH: I'll start forwarding that to you all
22 if you want to see it.

23 MS. BONNANO: They just did that as like that last
24 ditch effort to keep people because it's more

1 difficult to have to turn around and send us a lot of
2 documentation.

3 MR. FUTCH: Yeah, he's a great guy. I mean, I
4 don't know for doing, so he's got to be a --

5 MS. GRANT: Well, they ask me every month do you
6 want them to send that, sure*.

7 DR. SCHENKMAN: Well, would it help you -- I don't
8 know if they do this but if ASRT would somehow send,
9 if they're allowed to send e-mails of the people who
10 are tested in the state of Florida. At least you
11 would have those people that you could send e-mails
12 out to when their applications were, needed to be
13 renewed.

14 MR. FUTCH: Yes. I could ask him.

15 DR. SCHENKMAN: It would make -- or any others
16 that would have e-mails already so you don't have to
17 wait for another two or three years to get all those
18 e-mails.

19 MS. GRANT: Yes. That would be something that we
20 would look at or ask IT to look with James and
21 probably whomever he's been talking to.

22 MS. BONANNO: Make you a monthly, a list by month
23 and send an e-mail blast to everybody who is due to
24 renew.

1 DR. SCHENKMAN: Say it's due in June, you send it
2 the month before.

3 MS. BONANNO: Right. And they can answer -- they
4 can renew by answering that e-mail and make things
5 easier.

6 MR. FUTCH: Do you know what percentage of e-mails
7 we have right now for the techs?

8 MS. GRANT: Probably -- well, I'm not aware that
9 people had collected them before you and I, e-mail
10 addresses, no.

11 MS. CURRY: We had no place to --

12 MS. GRANT: Put that on the application.

13 MS. CURRY: -- require it on the application.

14 MS. GRANT: Oh, well.

15 DR. SCHENKMAN: Maybe you need to add it now.

16 MR. FUTCH: It's on the new ones.

17 MR. RICHARDSON: I haven't found e-mail to be very
18 consistent and people change their e-mail addresses
19 like --

20 MS. BONANNO: Yes, but it is one more --

21 MR. RICHARDSON: When you send out your notices
22 for renewal, do you send them bulk rate or do you get
23 them back if they are undeliverable?

24 MS. GRANT: We send out a postcard, the postcard

1 is a paper renewal.

2 MS. CURRY: For this profession it's paper.

3 MS. GRANT: Yes, we get them back. But to answer
4 your question about bulk. Yes, we do modern mailers
5 to rapidly mail them out.

6 MR. RICHARDSON: I've had a number of people say
7 they never received their renewal notice.

8 MS. GRANT: And a lot of that comes because they
9 don't change their addresses. And if they don't
10 change their address, of course, it comes back to us
11 and we don't change their address based on that yellow
12 sticker that's on the envelope.

13 MS. CURRY: But we would send that renewal form
14 back to them based on that yellow but we would not
15 make it an address change.

16 DR. ATHERTON: If you want to be really
17 progressive you could just stop offering paper renewal
18 and just do on-line.

19 MS. BONNANO: A lot of MQA.

20 MS. GRANT: Well, MQA has gone that direction
21 because people --

22 MS. BONANNO: Do you know there are still people
23 with no computers.

24 DR. ATHERTON: They'll get one if they have to.

1 MS. CURRY: They can go to the library.

2 MS. BONANNO: They can go to the library. That's
3 what some of them do.

4 MR. SEDDON: That's what some of them do.

5 MS. BONNANO: Very helpful.

6 MR. GUIDRY: Excuse me, but I didn't get the
7 answer to the question of why we don't offer a
8 monetary incentive to renew on line.

9 MS. BONANNO: Because we're in a deficit and it
10 just helps reduce the deficit if they renew on line.

11 MR. GUIDRY: Well, perhaps they're in a deficit
12 because they're spending too much time on renewals not
13 having them on line. Perhaps they should consider
14 raising slightly -- I don't know. It seems like an
15 on-line renewal would save a substantial amount of
16 that fee. I mean, thirty, maybe forty percent.

17 MS. GRANT: You're absolutely correct.

18 MR. GUIDRY: So why not offer them a twenty
19 percent discount and you will still come out ahead.

20 DR. ATHERTON: You could keep that fee the same
21 and charge a fee for the mail, like an administration
22 fee, but I don't think we can do that?

23 MS. BONANNO: Like the airlines do for a paper
24 ticket.

1 CHAIRMAN JANOWITZ: No. If we do away with the
2 fees maybe we could give them a five dollar --

3 DR. ATHERTON: If you want to do it by mail it's
4 going to cost you \$10 more.

5 MS. GRANT: I'm know that we looked to getting the
6 9-1-1 operators in our office last year. And the bill
7 that passed this year to make that, change that from a
8 may to a shall register, does include an incentive
9 that you are required to do it by on line. But if you
10 choose you will pay \$25 extra to do paper. And that's
11 great. But that was put in the statute and that
12 language did pass. I don't know that we could offer
13 discounts because I don't think that our project --

14 DR. ATHERTON: I think that's a good idea.

15 MR. FUTCH: That's a good idea.

16 DR. ATHERTON: It's a great idea.

17 MR. FUTCH: The problem we have is that we're
18 coming at it from the position of already being,
19 having a rather lower renewal fee and not enough money
20 -- and that being the biggest place of the revenue
21 generation.

22 MS. GRANT: This profession, I think, just makes
23 it sound right. I think on-line would be great and I
24 don't think it would be that difficult. But everybody

1 is not diligent enough to do the fees and get it there
2 in time. That's what prevents lots of people who go
3 on line, they don't have their continuing education in
4 there. And then it becomes a paper issue. They have
5 that option. They have the same option MQA does, to
6 go on and do an on-line renewal. And it was at this
7 lower price.

8 DR. ATHERTON: And the CE issue was what you are
9 working on now to change?

10 MS. GRANT: Right. And that will help for that
11 rule.

12 MS. DROTAR: But again, the technologists have to
13 do it in a timely manner in order to get it on line,
14 and for CE to do it and instantaneous that it gets
15 there. So it may be one true mechanism and probably,
16 I would think there's a large majority of people don't
17 wait until the very last minute to do it, and that
18 that would require mailing in the documentation for
19 CE's.

20 MR. FUTCH: They're giving them to us on the 10th
21 and your folks send the renewal stuff out, printed on
22 the 15th, or request the data on the 15th which then it
23 is printed a little bit later.

24 MR. GRANT: So if they run into the course on the

1 9th, it may not be on that 10th download, so we're still
2 looking at are the licensees having to assume a little
3 more responsibility as far as the renewal process.

4 DR. SCHENKMAN: I think if we wanted to charge a
5 fee for doing a paper application that has to go
6 through legislation as well?

7 MR. FUTCH: A separate fee, yeah. The only thing
8 we have the authority to do right now is charge a
9 single fee for renewal. It's a great point and we'll
10 probably be talking about it some more to see, talking
11 with the attorneys to see what kind of wiggle room we
12 have.

13 MR. GUIDRY: The only reason people aren't doing
14 an on-line renewal is because they don't have an
15 electronic version of their CE's. Can't you have an
16 incomplete on-line renewal application where you have
17 to submit something in later? That still would save
18 you thirty percent of the labor.

19 DR. SCHENKMAN: You can fax in paperwork.

20 MR. GUIDRY: Is there a way to do that?

21 MR. FUTCH: The alternative is essentially what
22 you do now. It's a paperwork method.

23 MS. GRANT: Correct. Out of the 1200 that are
24 mailed -- that's an approximate number -- monthly, we

1 probably handle 700 what we consider dirty renewals.

2 MR. FUTCH: Yeah. I think -- we don't know
3 exactly what the rationale is for the technologists.
4 We have a lot of assumptions. We're about to rule out
5 one of them because the CE will be in the system. It
6 could just be that 24,000 people have been doing it
7 this way for twenty years and that's just the way
8 they want to do it.

9 DR. SCHENKMAN: Is ASRT the only place that they
10 can get renewal credits from?

11 MR. FUTCH: No, there is a whole -- well, yeah. I
12 should mention this. The whole system is already set
13 up so that if they are using a Florida CE provider
14 that information is already given into the system.
15 The provider is getting with us and we're putting it
16 into the system. It is basically that ASRT is just
17 like --

18 MS. GRANT: It's just like when they go to a
19 national meeting or whatever.

20 MR. FUTCH: Right. So all the Florida societies,
21 they're already going to be there. But ASRT is kind
22 of like a super provider and we actually talked to
23 them years ago about doing this. I don't know why
24 they didn't want to do it back then. Now they want to

1 do it because it is part of this process, taking
2 information about technologists so they use it for
3 marketing, or they will be using it to market stuff to
4 them like ASRT membership.

5 MS. DROTAR: I don't think years ago they had the
6 ability, the technical ability to do it.

7 MR. FUTCH: Yeah. Now actually the initial part
8 of this project they wanted to charge us to do this
9 like I think \$1,000 per transfer, something like that.
10 And, you know, for any private business it probably
11 would've been no problem but, you know, for us. So
12 we've been talking for several months and they
13 eventually figured out that: Hey, we're getting a lot
14 of information, you know, it was publically available
15 information, but we're getting a lot of information
16 about folks who may not already be ASRT members
17 because we've got to match up the Florida folks with
18 the national folks. So then they kind of figured out,
19 I guess: Oh, what do you know. Another pool of people
20 who might get into ASRT.

21 That's it.

22 CHAIRMAN JANOWITZ: So why don't we adjourn for
23 lunch?

24 (Lunch recess from 11:45 a.m. to 1:15 p.m.)

1 CHAIRMAN JANOWITZ: Shall we get started? I think
2 we're doing pretty good in terms of time though we may
3 have a few controversial things coming up. It looks
4 like we're still with Jim.

5 MR. FUTCH: Thank you. We're on Item F for the
6 first bullet. And this is just basically a wrap-up
7 issue for me but I wanted to bring closure to this.

8 As you know, we worked, with your assistance over
9 a long period of time to get the electronic
10 brachytherapy regulations written. Those were
11 implemented earlier in the year. There is a letter in
12 the packet from ASTRO. This is at Tab F-1. Which
13 basically is a letter in support during the rule-
14 making process, saying that they like the regulations.

15 I'm sorry Debbie (Gilley) couldn't be with us.
16 She is plugged into lots of other organization on this
17 issue and a couple of others. But my understanding is
18 that I think we were the first state.

19 DR. WILLIAMS : We're the first state.

20 MR. FUTCH: So that just makes the company coming
21 back and telling us what we did wrong or somebody will
22 find it. But I wanted to say thanks for the
23 assistance, and show you the letter, and that's
24 basically all I wanted to say about this.

1 Any questions? Anybody have any opinions? (No
2 response.)

3 All right. We did the radiological personnel
4 forms before so that means we're down now to the
5 medical use draft rules, which Terry Frady is going to
6 talk to us about. These are changes to Chapter 64E-5
7 affecting radioactive materials users in a couple
8 different parts of 64E-5.

9 In your pamphlets here you have I think it is
10 three documents that pertain to this section. The
11 first one is labeled F-3, blue tab F-3. That's the
12 summary document. You were e-mailed a couple of
13 these. This summary is the one that you were e-mailed
14 before. And then there is a single double-sided page
15 with USNRC on the top of it. I'll let Terry explain
16 what that is. And then the last thing is the big
17 thick actual draft rules dated 4/8/2009. And I'm sure
18 you've all been through this and have all the
19 corrections.

20 So we have a committee who has been working for
21 awhile to draft these changes. It affects a large
22 number of licensees. The internal committee put
23 together this document and summary. I think this is
24 the first public appearance of them and e-mailed to

1 you and also a meeting that Terry did this past week.

2 I will stop talking now and Terry give it over to
3 you.

4 MR. FRADY: Thank you, James. This rule change
5 process has been very educational for me. I found out
6 just a little bit of some of the stuff that James has
7 dealt with over the years. And it is really about
8 moving a very stubborn mountain sometimes.

9 As the handout you got said, the NRC has made
10 significant changes to the medical regulations. And
11 based on those significant changes, we were tasked
12 with bringing our rules into alignment with them so we
13 remain in an agreement state. Some of the rules that
14 they gave us, we had to adopt the way they expressed
15 the stated rule. Others we could alter to some
16 degree. Others we could change more. So this was a
17 process that went on for just about a year we've
18 worked on it and gotten it to this point. And as it
19 gets further approved then it will be published where
20 we ask anybody who sees the publishing to please
21 comment on it as well because we want everybody to
22 have as much input as they can into what we've done.
23 And if they feel it will make it better or something
24 we have not seen -- and that happens. And I think you

1 have a copy of the drafted rules. Is that in there,
2 James?

3 MR. FUTCH: Yes.

4 MR. FRADY: Yes. That 156 pages. And don't read
5 it late at night. It might take a long time. But
6 that's it. And there's things that we could possibly
7 have changed and you say: Gee, you shouldn't have
8 changed that. And you can tell us why. We will
9 listen to that. If we can change it back, maybe we
10 will. If we can't -- there are some things that we
11 just cannot changed. The NRC doesn't allow that.

12 They've changed the medical things and Carol had
13 to hear this the other day and so did Ray. Mis
14 administration has been changed to a "Medical Event."
15 And that is in Part 1. I'm just going over the major
16 ones. You have them right there.

17 Notification requirements for reciprocity has
18 been changed from 365 to 180 days so they can be able
19 to stay in the state without having to reapply or re-
20 pay.

21 We've changed decay in storage. That is just one
22 that they gave us which was an option. From ninety
23 days for a short-lived isotopes to 120 days or less.

24 Back with the medical event reporting, they've

1 now included that if a child, an embryo/fetus or
2 nursing child receives 50 Millisieverts (5 rem) or the
3 nursing child has an unintended permanent functional
4 damage to an organ or physiological system (as
5 determined by a physician) it must be recorded.

6 This was something we didn't have before. And
7 that's specifically to do with radioactive material.
8 In other words, if they get around a dose somehow by
9 CT accelerator, or anything else. No, it has to be
10 radioactive material related, not.

11 And in Part 6, which is the biggest part, one of
12 the first changes is the RSO will now have to sign,
13 agree in writing to the responsibilities as RSO.
14 Before we've always had a signed RSO and as inspector
15 sometimes you realize that you're not on the same page
16 with the RSO because an RSO is neutral and * accepted.
17 Because some people, unfortunately either the DR. will
18 make him the RSO and it doesn't work so now they have
19 to be responsible for that.

20 Radiation Safety Committees may be required for
21 outpatient facilities. Currently they are not.

22 No longer will we have written directives
23 required for I-123. This makes a lot of people happy.

24 We have the current category Visiting Authorized

1 Users and it's been expanded to include Visiting
2 Authorized Medical Physicist, Radiation Safety
3 Officer, and I also believe Visiting Nuclear
4 Pharmacist. And the reason for that is that sometimes
5 we need somebody to replace an RSO who is on vacation
6 or something like that. And there is no real clear
7 way. We don't have Deputy RSO's so this is going to
8 be the answer to that. You can have a Visiting RSO
9 just as though they are a visiting authorized user for
10 the same sixty days per calendar year.

11 We went and clarified the requirement mobile
12 medical licenses must perform both fixed and removable
13 contamination radiation surveys at a clients' facility
14 before leaving to verify the facility is clean.

15 And here is another one that they found very
16 exciting at the SNM team meeting. Dose Calibrators
17 are not required (or an assay) if the radio
18 pharmaceutical is in a unit dose and does not require
19 a written directive. A lot of dissension about that.

20 MS. BONANNO: Do we want to discuss that here now
21 or when you are through.

22 MR. FRADY: We can discuss it now.

23 MS. BONANNO: Yes. I was directed by the
24 (Florida) Nuclear Medicine Technologist Society to

1 encourage us to -- we can be stricter than these
2 rules. Right? We don't have to -- we can follow the
3 NRC but we can be stricter. We can say that you still
4 have to have one (dose calibrator) in the state of
5 Florida. That's my understanding.

6 MR. FRADY: Direct compatibility is required.

7 MS. BONANNO: Right. Because there were all but
8 one person in that whole room just went nuts over
9 this. It's a liability issue. Radiopharmacies make
10 mistakes. They are not perfect. You get the wrong
11 size dose, you get the wrong radioactive material from
12 Radiopharmacies. It's happened to me, both, and there
13 is no way to tell is you don't use those calibrators
14 until you inject it in the patient and now you've got
15 a medical event that you have to report in writing to
16 the physician, to the patient, as well as to the
17 state.

18 And I don't think that it's a lot to ask for
19 anybody that's dealing with radioactive materials and
20 they've spent \$250,000 on a nuclear medicine camera
21 system, to have a \$5,000 dose calibrator sitting in
22 the complex, period.

23 CHAIRMAN JANOWITZ: I agree with Carol. You know,
24 I think it is for patient safety to make a second

1 check just to make sure the pharmacy did not make a
2 mistake. And it would be good for the pharmacy. They
3 would probably much rather know ahead of time that the
4 patient was going to be injected with the wrong dose
5 or the wrong isotope.

6 MS. BONANNO: They're ultimately liable. The
7 pharmacy would be ultimately liable.

8 CHAIRMAN JANOWITZ: You're talking about a minor
9 investment and a minute of time to check the dose in
10 the calibrator.

11 MR. FUTCH: We appreciate your comments very
12 much.

13 MR. FRADY: Yeah. Great input. I do want to say
14 this. Remember, even if this law changes, you don't
15 have to do this. You can do the greater extent. You
16 can continue to use the dose calibrator.

17 MR. FUTCH: We will forward those comments back
18 to the --

19 MR. FRADY: Yes. All of them are very valuable.
20 As I said the other day, any input as you read the
21 entire document and you find something, please let us
22 know.

23 MR. FUTCH: That's the whole purpose. We provide
24 this thing because we want to hear these kinds of

1 things, the parts where we didn't get it right or the
2 parts where something else needs to be done.

3 It's really early, you know. It's not too late.
4 It's not written in stone yet.

5 MS. BONANNO: But there's only thirty days once it
6 is printed, right, for comment?

7 MR. FUTCH: We have twenty-one or thirty. I can't
8 remember exactly. I believe it is thirty.

9 MR. RICHARDSON: I'm sure no one will complain
10 about the I-123.

11 MS. BONANNO: No. There were cheers.

12 ANONYMOUS: Including the inspectors, yeah.

13 MR. FUTCH: You want to keep on going.

14 MR. FRADY: Transmission sources that are used to
15 calibrate imaging equipment have been, prior to this,
16 15 millicurie, and it is going to be raised to thirty
17 millicurie. That's when the PET scan is internal.
18 That's what the maximum total has been changed to.
19 And that's so that people don't run into a problem
20 because they have several systems and now they have
21 too much REM.

22 Brachytherapy sources, the inventory has been
23 changed from three months to six months.

24 There is new additional training and experience

1 requirements for Medical Physicist, Authorized
2 Pharmacist, Radiation Safety Officer, Authorized user
3 physicians in the use of Brachytherapy Procedures,
4 Remote Afterloaders, Gamma knife, et cetera.

5 As that's changed, there are reasons for it. You
6 have to create alternate pathways to be able to be
7 user, or for people who had not at the time chosen to
8 be on a license as a Medical Physicist. Now they have
9 to find an alternative pathway to do that. The
10 training and experience requirements are in the new
11 document as well. And you will find some of that
12 attached to this.

13 CHAIRMAN JANOWITZ: I have a couple of questions
14 about this section.

15 MR. FRADY: Sure.

16 CHAIRMAN JANOWITZ: I guess first of all it says
17 grandfathering existing RSO's.

18 MR. FRADY: Correct. If you are an RSO now and
19 for whatever reason the training requirements that you
20 need looks like it is more extensive than what someone
21 has had in the past, because by virtue of being an RSO
22 they remain an RSO.

23 CHAIRMAN JANOWITZ: If you were then to switch to
24 a new facility would you still be an RSO or would you

1 have to meet the new requirements.

2 MR. FRADY: You know, I meant to ask that question
3 and I didn't because we were busy at the time with so
4 many different things. I can't answer that question.

5 MR. DIELMAN: Was the question -- excuse me. Was
6 the question if you moved to a new facility?

7 MR. FRADY: Transfer from license to license.

8 CHAIRMAN JANOWITZ: A new facility.

9 MR. DIELMAN: Can you be an RSO at the new
10 facility? I don't know the answer to this question
11 for this group, but I know by precedent, what Part 35
12 is doing now is you can do that in the existing NRC
13 system.

14 MR. FUTCH: I think the choice was if you were
15 recognized on a license --

16 MR. FRADY: Yes, I think that's where it remains
17 because otherwise --

18 MR. DIELMAN: And therefore you could go to
19 another license and do it.

20 MR. FUTCH: And also on the NRC paper, the
21 (specialty) Boards recognize it says: Training for the
22 oral administration sodium iodides under 32
23 millicurie, American Board of Nuclear Medicine is not
24 in there. On the back of the paper on 35.392. On 394

1 I guess none of the boards are recognized for greater
2 than 33 millicurie. And what are the requirements?

3 MR. FRADY: Well, I can answer the required
4 amount. You will see that on the next page that they
5 have here several of the requirements. It talks about
6 200 didactic, 500 supervised clinical, hours
7 documented in clinical experience.

8 MR. FUTCH: Which one are you on, Terry?

9 MR. FRADY: I don't have page numbers on here.

10 MR. FUTCH: Hold it up. Okay. And I know in the
11 past American Board of Nuclear Medicine has always
12 been recognized.

13 MR. FRADY: This list is taken from the NRC, as I
14 understand it. It's not something we put together.
15 Okay? It's their current list subject to change.
16 Maybe it is an oversight on their part. It is not a
17 list that will never change again. They've had
18 additions and subtractions over the years and that's
19 where this is at right now. Why it is that way I
20 don't know because if I had seen it I would've asked.
21 I didn't see it until today, to tell you the truth.

22 ANONYMOUS: You have to apply, the different
23 education that applies to have a we have * to be
24 recognized. So when they first did this a lot of them

1 were kind of left out. They start with a zero boards
2 recognized and then --

3 MR. FRADY: And I look here and I see Wednesday,
4 February 6, 2008, so I'm sure it's updated from then
5 No, I'm not sure. It's possibly updated since.
6 February of '08.

7 MR. FUTCH: On this paper it says 4/8/09.

8 MR. FRADY: Okay. I apologize.

9 MR. FUTCH: Well, we will certainly run that up
10 there to Mike and Bill, the NRCO of the committee, and
11 see if we can get an answer on that, see if they know
12 or if it is, as Terry indicated, just NRC's own.

13 ANONYMOUS: Actually, if you look also, all these
14 other certifications, they have a date as when the
15 first counted them like 2005, 2007, so that's where we
16 reflect the date you asked about and these are being
17 recognized as far as '08 and '09. So anything before
18 that still wouldn't count either.

19 MR. FUTCH: I think it is safe to say that the
20 training and experience parts of this is one of the
21 bigger parts.

22 MR. FRADY: Yes. We have an overview of that.
23 There is more of that and it is a brief description of
24 how these changes, what they are and how they have

1 changed. There's things that I've disagreed with I
2 didn't see. I'm just trying to give you a brief
3 overview of things.

4 And if you want to jump to under gases and
5 aerosols. We have changed the requirement for
6 collection systems to be checked during the months of
7 use instead of every month. Well, in a hospital it is
8 a monthly -- they are used daily. In a clinic
9 somewhere they may be used two or three times a year,
10 but I'm still not comfortable personally with them
11 only being checked in the month of use, and what part
12 of the month do you check it, when used, prior to use.
13 I don't know how the verbiage is on it.

14 Also I see here the section on calibration. They
15 have calibration of -- calibration of manual
16 brachytherapy sources under 64E-5.632 and also a new
17 section for decay corrections of Sr-90 eye applicators
18 to assure source of specific data is correct.

19 This is like an overview, when you get into the
20 meat of it, some of its far longer and but essentially
21 what they tried to do is build a program that is
22 compatible with what NRC was requiring of us, to have
23 something that would not create impact financially was
24 the key consideration, and to get this done in a

1 reasonable amount of time. Some of our rules are
2 advanced from what the NRC may even want because we
3 anticipate our next change and we want to be ready for
4 it before it happens. Not like with this rule change
5 where we kind of have to catch up or try to get ahead
6 of the curve on that.

7 I'll take any questions you have about what we've
8 done. We've done this over a period of about a year.
9 We've taken one rule at a time, literally, and went
10 through it. And all I was asked to do on the
11 committee was can I inspect this when they changed the
12 rule. And the other people really have put a lot of
13 work far more. Mike Stephens comes to mind as doing a
14 tremendous job. Of course, Debbie Gilley was often
15 involved in so much of what we do and the rest of the
16 people on the committee have done a heck of a job
17 trying to bring us up to speed, get it to this time of
18 year so that we can go on forward with it.

19 Are there any questions?

20 MS. BONANNO: Well, is there going to be a chance
21 for -- for the nuclear med tech stuff, you hear a lot
22 of this this week. But what about the radiology tech?
23 What about the other people that are affected by this?
24 How are they going to know and are they going to have

1 a chance to comment and is there going to be somebody
2 to explain this to them?

3 MR. FRADY: Anything you can comment on. Tampa can
4 go through the same process once it is posted. The
5 only thing if it is, is we don't know that day that
6 that's going to be posted.

7 MS. BONANNO: And are these groups aware that
8 there is a new rule and it is going to be posted, or
9 they have any time?

10 MR. FUTCH: There is a notice of proposed rule --
11 the process we have to go through, Florida
12 Administrative Code, any modifications, the initial
13 notice is given to the Florida Administrative Weekly
14 and basically what it says is that we are starting the
15 process of developing the rule. I don't remember the
16 exact date for that, but that was done several months
17 back, before Mike started putting this stuff together
18 and the committee started meeting.

19 If we don't complete and get to the final stages
20 of that we will have to do it again at the end of the
21 first year, which is what happened to the
22 electronicbrachytherapy. We got started with that
23 process and didn't get far enough and had to do it
24 again.

1 The second notice after the proposed rule
2 development is the actual publication of the rule and
3 the proposed rule making. That's the time when you
4 were talking before you get the public comment period
5 and you get thirty days and the rest of it.

6 We're before that. That hasn't happened yet. So
7 there's always that at the end. But what we're trying
8 to do with this is to get this out to groups like last
9 weekend or whenever it was.

10 MS. BONANNO: Right. Are the other groups going
11 to have time before -

12 MR. FUTCH: And the advisory counsel. I think --

13 MS. BONANNO: They don't read the Florida Public
14 Administrative Weekly, you know.

15 MR. FRADY: Well, and the thing is, Carol, you
16 guys asked us to come speak and this is what is
17 occurring and what would impact you. I have not
18 personally been asked to speak in a group like that
19 other than for the FNMT because of the level of you
20 guys have. But we have to go everywhere and tell
21 everybody because we don't know where they are.

22 MS. BONANNO: Do you ever do a public hearing with
23 a notice of a Webinar or something like that?

24 MR. FUTCH: We cannot -- there's a couple of ways

1 to go about it. We can do a workshop. We can do lots
2 of different things. I think this is kind of --

3 MS. BONANNO: Because these are a lot of changes,
4 you know. And so the people that come to the FNMT are
5 mostly from this part of Florida, the whole South
6 Florida group, there are only three or four people
7 from South Florida. So they are wholly unaware, I'm
8 sure.

9 MR. FUTCH: I think Mike has got plans but I
10 wanted to give you guys kind of the first chance to
11 say: Look, it's, you know, coming out of the internal
12 department, and now it is coming through the Council,
13 and into the -- there's one group a little bit ahead
14 of you but that's because they had the meeting.

15 MS. BONANNO: Two days ahead of us.

16 MR. FUTCH: I wanted to kind of get a chance to
17 see what you all thought about it first and then tell
18 us, you know, take a look at this area again, or, you
19 know, where we have the most concerns, as you've done
20 so far with the comments that you've made. We'll
21 probably make some adaptations and changes to that
22 before we come out to, you know, the rest of the state
23 of Florida. But, you know, this is a public document
24 that you're holding in your hands so you guys can do

1 whatever you want with the public documents.

2 MR. DIELMAN: May I make a brief comment?

3 MR. FUTCH: Sure.

4 MR. DIELMAN: The first is, although with a
5 strong background in nuclear medicine, I'm really
6 inclined to believe that those calibrators should
7 continue to be used in the diagnostic assessment radio
8 pharmaceutical. With that in mind, there is also I
9 recognize that when you receive any dose of any other
10 medication in an institution or an outpatient
11 facility, it is not checked by the institution or the
12 outpatient facility. You know, it arrived from a
13 pharmacy, came into the pharmacy and it is dispensed.
14 It is not rechecked but by assay. I can see a
15 parallel there.

16 MS. BONANNO: But it is labeled by the
17 manufacturer.

18 MR. DIELMAN: I know but these would be labeled by
19 the radio pharmacy.

20 MS. BONANNO: Yeah.

21 MR. DIELMAN: So that's number one but I think the
22 other reasoning is, let's try to look at both sides of
23 it. My advocacy is for the -- my initial statement.
24 The other one is the liability issue. When Part 35

1 was adopted by the Feds five years ago. So this has
2 been a long process. And five years ago I may recall,
3 and James, you may recall better than I and others
4 here. Florida Hospital Association at that time when
5 Part 35 was first distributed, I'm not sure if they
6 did it as an association or if there was someone
7 representing the Florida Hospital Association, but
8 nevertheless, they took a position at that time of
9 concern about liability. They felt it was important
10 to them to be on the side of having a dose calibrator
11 for a whole, obviously for therapeutic, but also for
12 diagnostic because it would contain their liability.

13 So relative to Carol's point, you know, how are
14 people going to know? Where there are people from the
15 Florida Hospital Association that will read everything
16 that ever appears in a Florida Administrative Weekly.
17 On the other hand, there are others who won't.

18 But that's just the point. There are people in
19 the past who have been very strong advocates of the
20 dose calibrator, continuing the dose calibrator
21 project, i.e., the Florida Hospital Association.

22 MR. FRADY: I think Carol brought up the one
23 point that's very relevant. The medical event as we
24 are now calling it. If you give the wrong radio

1 pharmaceutical even for the six month period it
2 becomes a medical impact. And that is a part of the
3 problem now. Even with those calibrators will that
4 ever happen? Well I don't think its going to happen.
5 I suppose. I don't know that there is a guarantee on
6 that. I don't know what even prompted this. I know
7 it comes from the NRC. I know it's option. I don't
8 understand it. It's part of the thing you do as a
9 Nuclear Tech every day of your life you should
10 calibrate your dose. And a life begins by evaluating
11 generators.

12 MS. BONANNO: Me, too, honey. Me, too.

13 MR. FRADY: And it went downhill from there.

14 MS. BONANNO: Before.

15 MR. FRADY: And so I was very surprised when I
16 first about it.

17 DR. ATHERTON: Well, it sounds to me like most of
18 the facilities will still maintain doing this despite
19 what the law would say.

20 MS. BONANNO: But if you have a new place opening
21 up, yeah. But it gives an opportunity for somebody
22 who is opening new facilities to not make that
23 purchase, and it is not a big purchase.

24 ANONYMOUS: A lot of this is good advice for

1 cardiology groups because they are -- you know, they
2 are only using one radio nuclei, usually, possibly
3 two, and that's \$5,000 they didn't want to spend,
4 quite frankly.

5 MS. BONANNO: You're absolutely right.

6 DR. SCHENKMAN: Are these prepackaged doses? In
7 other words, they are packaged at the manufacturer?

8 MS. BONANNO: No, no, no, they are not. They are
9 made up at the radio pharmacy.

10 MR. FRADY: Cardinal, whoever your pharmacy of
11 choice is, makes the unit does for you. And that's
12 the only thing that's applicable to you. You can't
13 buy bulk tech and make a kit. That would not be
14 allowed. But the individual dose, like the
15 cardiologist would use. Either as ten or twenty
16 millicurie doses. That's --

17 MS. BONANNO: It comes in a syringe, ready to
18 inject. But they have absolutely compounded it.

19 MR. DIELMAN: With a label on it and with the
20 amount. They are taking the liability in the
21 circumstances. You know, they take the sole
22 liability. In the past the hospital has been taking
23 it but it seems to, at least historically, seemed to
24 want it. I don't know where they are today.

1 MR. FRADY: Yes. Because once you break the rule
2 it does become a risk.

3 MS. BONANNO: I can see hospitals never changing
4 but the technologist, you know, what if they come in
5 and you want a job and they say, "Where's the
6 equipment? There's no dose calibrator." Well, you
7 have the choice of not taking that job. I wouldn't
8 take that job and I don't think most people would, but
9 people are desperate for jobs so they might take the
10 job without that. It is a form -- you know, it is the
11 suspenders and the belt. I don't want my pants to
12 fall down.

13 ANONYMOUS: That's one way you have of paying the
14 bills.

15 MS. BONANNO: And we don't even talk about 797,
16 right?

17 MR. FUTCH: Any other thoughts or points on this?
18 I know it is rather complicated.

19 ANONYMOUS: Wait until they see the training
20 requirements for diagnostic imaging went to 200 to 80
21 hours, 700 supervised clinical hours.

22 MR. FUTCH: It's been redacted from, it looks like
23 supervised clinical hours.

24 MR. BURRESS: Who is qualified to do the

1 supervision of this?

2 MR. FUTCH: Terry, would that be the --

3 MR. FRADY: On the training, that's another thing.
4 On the training, all training when completed it has to
5 be signed by the person who has done the training that
6 they do meet levels of competency by a qualified user
7 in that category, just as it is now. I mean, you
8 can't teach somebody in a category you aren't already
9 certified in.

10 MR. FUTCH: So any qualified user can do it. It
11 doesn't have to be a training program or --

12 MR. FRADY: Right. And the reason for that is so
13 it is offering a pathway so more people can become
14 authorized users, say, in small clinics. And again we
15 go back to cardiology with this where they didn't --
16 they couldn't -- they had to go away to learn. Now if
17 you have an authorized user for 620, 6620.7, you can
18 teach that person and have to get to 200 didactic but
19 they have 700 supervised hours with him. But it
20 allows people to have an alternative pathway, become
21 an authorized user for that type of rem without having
22 to leave or to go away for a turn.

23 ANONYMOUS: I guess the real question is how do
24 you document the hours that is spent? Is it just

1 based on the signature of the authorized user who is
2 signing off?

3 MR. FRADY: Insofar as I know, yes, they have to -
4 -

5 MS. BONANNO: That's a lot of trust.

6 MR. DIELMAN: Are you talking about the preceptor
7 process?

8 MR. FRADY: Yes, still. But I don't know because
9 I don't have that part of the rule in front of me.
10 But it has to be someone in the category can teach the
11 category.

12 MR. DIELMAN: Competency.

13 MR. FRADY: Right. And then they have to have a
14 letter. No matter what happens, they have to have a
15 letter attesting to the competency when they're done,
16 as well. Not just a certificate. The same way that a
17 person was qualified before to do that. In other
18 words, by virtue of being in that category, that they
19 can teach that *.

20 MR. FUTCH: I understand. I don't know the
21 details of it, but I'm sure Ray either you or Terry,
22 NRC is actually in its own rule process right now to
23 fix some aspect of what they do, how they handle the
24 preceptors.

1 MR. FRADY: Right.

2 MR. FUTCH: Maybe somebody who is knowledgeable
3 about that can explain.

4 MR. FRADY: Well, it came out about a month ago,
5 I think -- a month, six weeks ago -- that they started
6 talking about changing, reviewing that and doing that.
7 And they said very little and what they said we're not
8 supposed to discuss because they haven't decided how
9 they are going to do that. That was six weeks ago.
10 They just knew that they were behind the curve on that
11 and they needed to address it.

12 MR. FUTCH: The substance of it, though, was in
13 their changes to Part 35 they kind of painted
14 themselves into a corner --

15 MR. FRADY: Yes.

16 MR. FUTCH: -- in terms of the number of people
17 who could be preceptors. The limited the pool down to
18 --

19 MR. FRADY: They made it too tiny.

20 MR. FUTCH: Too tiny. And that was something
21 that -- I remember Bill and Mike talking about this.
22 We were forced into -- we could be a hundred percent
23 in compliance with something that we know they are
24 trying to change because it is wrong. Or we can

1 target it toward where we think they are going to end
2 up in their rule making and go straight to that and be
3 noncompliant. And when they come and ask us, you
4 know, "Why are you noncompliant?" we will say,
5 "Because you did it wrong." So they have made
6 allowances for that.

7 ANONYMOUS: Which they have become aware of.

8 MR. FRADY: Right. I understand. And then we
9 will -- it's not just that one rule because we have
10 others like that.

11 MR. DIELMAN: T and E are training and experience
12 requirements. They dealt with that the Department, correct
13 me if I am wrong, they actually worked on that. This whole
14 process of Part 35 has been going on for at least twenty
15 years. And this T and E was the last to be approved, was
16 it not? And then they still, at you just pointed out,
17 James, have a problem with.

18 MR. FUTCH: And the cardiologist have been trying
19 to get this changed for many, many years.

20 MR. DIELMAN: And get it diluted, if they possibly
21 can where it allows more people to be used one for
22 department pharmaceutical which is how the old thing,
23 the whole mix about that, in my personal opinion.

24 MS. BONANNO: My concern is that there has got to

1 be a way to track those hours. Because just because
2 they are a partner in this practice that does nuclear
3 medicine every day, eight hours a day, he's off seeing
4 patients, he's at the hospital. That shouldn't count
5 as his eight hours, you know, towards this 400, or
6 700. There's got to be a way to really getting that
7 training and not just sitting in on the reading most
8 of the day for an hour. I don't know how to control
9 that.

10 MR. DIELMAN: Well, the only mechanism we
11 currently have is the supervision requirements in
12 which you create the criteria. You, meaning the
13 licensee, creates the criteria in advance and the
14 institution gets it approved by the Radiation Safety
15 Committee, the Radiation Safety Committee guides it.

16 MS. BONANNO: Unless you're outpatient and you
17 don't have a Radiation Safety Committee.

18 MR. DIELMAN: Exactly.

19 MR. FRADY: Currently.

20 MS. BONANNO: Currently. You won't unless you
21 have aerosols anyway, will you? The way I understood
22 it. Maybe it's more strict than that.

23 MR. FRADY: Yeah. That's another change is the
24 Radiation Safety Committees are not in 5-C's or in

1 clinics at this point. But if you have -- and that's
2 one example. If you have aerosols and gases on a
3 license for a small clinic they are going to require a
4 Radiation Safety Committee, and also if you're doing
5 diagnostic and therapy they're going to be required to
6 do that. If they're just a therapy place then that's
7 not the same. But once you have add the other imaging
8 to it or vice versa, then they're going to be required
9 a Radiation Safety Committee. And the makeup will be
10 essentially the same as the Radiation Safety Committee
11 now, so you have to have one person from each category
12 of user, the RSO management.

13 MR. DIELMAN: Most of those places oftentimes the
14 RSO is (inaudible).

15 MS. BONANNO: Yeah. It is going to be a meeting
16 of one or two people, the technologist and the RSO.
17 They better serve lunch.

18 MR. FUTCH: Any other thoughts on the medical
19 use? All right. If you get back to your offices and
20 colleagues and begin to discuss this and other things
21 occur to you, please e-mail it to me. Individually,
22 of course. And we will feed all this up the chain and
23 see if we can get it corrected if it needs to be
24 corrected.

1 ANONYMOUS: I have a simple question. Child
2 embryo/fetus calculation, is that something that's
3 already done and it's just a matter of reporting a * 5
4 rem or are people going to have to come up with
5 standard assumptions before it is finalized?

6 MR. FUTCH: I actually asked that question myself
7 for the first draft. The summary must be like four or
8 five drafts. They gave this to us and we opened it up
9 and said great. So that was the genesis of the
10 summary. And then the summary went through three or
11 four revisions. I could somewhat understand it
12 because this is not my area. And I asked that exact
13 question and this is the answer that I got, what you
14 see. I don't know. Do you guys know? How is it done
15 currently? If you have a child who is exposed,
16 receiving more than this, are they not required to
17 report it now? That's the implication, I guess.

18 MR. FRADY: Received less than. I don't like the
19 way that this is even written but I have heard it
20 written worse before we got to this point. I guess
21 this is as much as anybody could agree on at the time.
22 It does leave things kind of open even if you identify
23 that it is less than.

24 MR. BURRESS: You have to do it as the mother's

1 milk, it seems like.

2 MR. FRADY: You know, clearly you would.

3 MR. DIELMAN: Can we suggest that --

4 MS. BONANNO: No, you can't volunteer.

5 MR. DIELMAN: I missed something while you were
6 talking. Would you be so kind as to perhaps take that
7 back to the committee, that change, and discuss that
8 point. Because I had a college professor once that
9 had the big rubber stamp that stood about that high
10 and about that long in college. And it said "Vague
11 and Incomplete." That's exactly what that section
12 appears to me to be.

13 DR. SCHENKMAN: It also doesn't give a time frame.

14 MR. FRADY: No.

15 DR. SCHENKMAN: Terry, this doesn't give a time
16 frame for when this unintended permanent functional
17 damage to an organ or --

18 MR. FRADY: That's the point I disagree with
19 strongly, definitely. So they come back when they are
20 twenty years old and say, "I'm this way because."

21 DR. SCHENKMAN: Right.

22 MR. FUTCH: And how do you determine the cause.

23 DR. SCHENKMAN: Right.

24 DR. ATHERTON: Why is the other radiation

1 excluded? I mean, isn't fifty millisieverts --

2 MR. FRADY: Because the part that we're working
3 with is about radioactive material as opposed to being
4 the radiation --

5 DR. ATHERTON: Oh, I see.

6 CHAIRMAN JANOWITZ: But when you look at this,
7 the only time I could foresee this ever happening is
8 probably if it is hydrosradioiodine therapy if someone
9 is pregnant.

10 MS. BONANNO: And someone is pregnant.

11 CHAIRMAN JANOWITZ: I can't imagine any other
12 diagnostic procedure that would cause fifty
13 millisieverts to a fetus. And actually, if you look
14 at the literature, there isn't literature on women who
15 were given thyroid cancer therapy doses 100 millicurie
16 or more who were later found to be pregnant. And the
17 data shows no significant increase in birth defects.
18 So I'm not -- I don't know what the rationale is.

19 MR. FRADY: But there's a part that bothers me
20 and it probably has got something to do with the NRC's
21 actual rule and how far we can stretch this rule.

22 The question that you brought up. At what point
23 can this person come forward and say, "This happened
24 to me -

1 ANONYMOUS: -- my mother nursed and I
2 automatically got radiation then."

3 MR. FRADY: This is a problem and the kind of
4 medical liability I don't know and I obviously don't
5 like that one. The rest of them I can live with and I
6 know how to inspect that. That's what we know. But
7 the rationale behind it, I don't know. It is too
8 vague.

9 MR. BURRESS: I just would think that if you
10 proposed the rule people might think they have to do
11 assays to prove that they didn't exceed the 5 rem.

12 DR. SCHENKMAN: Or any time any woman with a
13 uterus is going to get a significant dose of radiation
14 they are going to make sure that they have a very
15 current pregnancy test.

16 MS. BONANNO: That's what you do anyway.

17 DR. SCHENKMAN: Even still, sometimes, you know,
18 they get pregnant that night and has a dose.

19 MR. FRADY: Not if she follows the instructions
20 given to her. And that's why I don't like her to read
21 the instructions.

22 MS. BONANNO: Follow these instructions. You
23 could keep them in the hospital for three days you
24 have a chance.

1 MR. FRADY: We often get patients we know are
2 pregnant and we have them sign a waiver we usually use
3 a low dose but they are no where near 50
4 Millisieverts.

5 MR. FUTCH: Going once, going twice. I apologize
6 for the amount of material but --

7 MR. FRADY: It's a two-day project.

8 MR. FUTCH: Yeah.

9 MR. FRADY: The overview is as good as can be for
10 this afternoon.

11 DR. SCHENKMAN: Would you kindly, when you know it
12 is going to be published, since I may forget to check
13 that present day, call --

14 MR. FRADY: That's special treatment for you. We
15 can't do that.

16 DR. SCHENKMAN: Ms. Committee, would you let us
17 know so that we can sound the alarm. Or Terry can
18 call me.

19 MR. BURRESS: And your input, what we take back --

20 MR. FUTCH: You know, actually you can go -- you
21 can go to the Department of State's website and, if I
22 remember right, asked to be e-mailed when there is a
23 change made to a particular part of the state's
24 regulations. I know this because people do this to

1 me. "Oh, I see you did this." I go, "What? Who are
2 you?"

3 Are we moving on?

4 MR. BURRESS: I think we're done with this.

5 MR. TINEO: Just a pause, might I suggest --

6 Terry, thank you very much. Thank the committee and
7 all these guys I know.

8 MR. FUTCH: Terry has to leave and we appreciate
9 you coming, Terry, and thank you for your --

10 MR. FRADY: Yes. I appreciate everybody's input.
11 If you do get a chance to read that when you are not
12 really sleepy. It's a lot to read and there are some
13 changes. I think most of it is livable. There are in
14 particular things, again, about the calibrators. It
15 reflects a change from the very beginning. And if you
16 don't know anything else, you know about those
17 calibrators.

18 And it was a lot of work. We hope we've done
19 some -- if we've missed anything, we want to know. I
20 told somebody the other day. We've gone over this
21 endless times. Every inspector has read that. Okay?
22 And then my boss comes over to me and he says, "What
23 do you think this means?" And something was written
24 one paragraph one way and one paragraph the other way.

1 Had to do with assay at injection time. And, nobody
2 caught that. And I was on the committee. Nobody on
3 the committee caught it. Thirty other inspectors
4 didn't catch it. There's a lot in there and that's,
5 unfortunately, what happens, especially when we do a
6 lot at one time.

7 MR. FUTCH: There are NRC points sometimes you
8 don't catch it for years.

9 MR. FRADY: Thank you all very much.

10 MR. FUTCH: Part G you may recall -- I don't
11 remember exactly how many council meetings ago it was,
12 but we had a presentation from one of Debbie's
13 colleagues on the NCRP 160 committee about increased
14 doses to the public. And that report has now come
15 out, at least they're taking orders. This is a pre-
16 publication copy. And has anybody seen one? Have we
17 actually gotten delivery on this yet?

18 DR. ATHERTON: No.

19 MR. FUTCH: All right. I just checked with
20 Debbie and it has been finalized and it is supposed to
21 be printed and being forwarded soon. This is Debbie's
22 copy. If anybody wants to see it, here it is.

23 But we had talked about when this happened,
24 trying to do something at the Department. Maybe

1 getting the Surgeon General to send a letter to the
2 State's physicians. Oh, I should back up. The main
3 thrust of this, for people who haven't seen this, is
4 that there is a type of organization which looks at
5 the dose, average dose to the public from all sources.
6 And they last did this I think twenty years ago and
7 they have now updated it. And they found that the
8 average dose to the public have more than doubled.
9 That makes you feel real good, doesn't it? All the
10 entire framework of licensing techs, and regulating
11 machines, and trying to have as low as reasonably
12 achievable of your dose to the average person doubles
13 in twenty years, which is not a good thing in and of
14 itself.

15 So the thrust of the report is where did the
16 increase come from. And so the thrust of the report
17 is the increase came from increased use of medical
18 procedures primarily two different kinds -- CT and
19 nuclear medicine cardiac procedures.

20 And that accounted for the vast majority of the
21 increase. Medical use went from I think -- oh, I
22 forget what it was, eleven percent, something like
23 that to now medical use is more than fifty percent, if
24 I'm right.

1 So the trick to all this is that, nobody wants to
2 frighten people away from getting medical procedures.
3 But there is a lot of national organizations who have
4 come out. ACR has come out and made some
5 pronouncements. Health Physics Society, I was just
6 reading a two or three page summary of NCRP Report
7 160. AAPM has some pronouncements.

8 And everybody is kind of taking the approach of
9 trying to better educate referring physicians on a few
10 points which is that, you know, these procedures have
11 dose and does doesn't cause illness. It can increase
12 one's risk of things like cancer. And to think about
13 it before you send somebody to a CT procedure. Maybe
14 check into, you know, have they had something before.
15 Some folks are talking about trying to track
16 exposures, with your own personal tracking card, keep
17 track of your CT dose exposure.

18 All this is being talked about. And the
19 committee last time I think they gave some support
20 through trying to get interviewer Monte Ross to come
21 up with a letter that would go to the DR.s in the
22 state and talk about these issues. Tie in to the
23 *Image Gently* campaign that ACR and some of the other
24 organizations have for paying attention to pediatric

1 doses especially.

2 And what you've got here in your packet I hope to
3 actually be a draft letter from us on behalf of
4 Surgeon General Viamonte Ros but it hasn't quite
5 gelled and come together yet. So what you have
6 instead is essentially the same type of letter from
7 the state of New York, Medical Commissioner of Health
8 to their physicians in New York. This one
9 specifically talks about CT. I don't think it really
10 mentions nuclear medicine. And it ties into the fact
11 that the NCRP was working on this report.

12 But I wanted to put it in your hands because I
13 think this is the direction that we're heading with
14 our letter. And I wanted to see, since it's actually
15 been done, what you thought of this direction and if
16 you thought the tone of this was right, the direction
17 and the topics were in the right vein for Florida.

18 Okay. I'll stop talking now.

19 CHAIRMAN JANOWITZ: I have two comments. I think
20 there should be a letter to all physicians, but I
21 think you need a letter also to every licensee
22 physician, because they are the ones that control the
23 settings of the machine, they are the ones that could
24 do the most to limit the radiation. They don't need

1 the same letter necessarily, but I think pointing out
2 these things that I feel are very important, just as
3 important as sending it to the physicians.

4 MR. DIELMAN: I would certainly advocate that very
5 position, that Florida receive a letter similar to
6 this. I think there are places where it could be
7 enhanced. As you suggested, in addition to CT,
8 nuclear medicine reference, not to mention
9 fluoroscopy, conventional cases. I think on the last
10 page they referred to only one accrediting group, but
11 there are several accrediting groups out there. It
12 would be really hard only mentioning one of them.

13 MR. FUTCH: * is one of them.

14 MR. DIELMAN: Joint Commission is now very active
15 in going back in diagnostic imaging accreditations.
16 That's being actively pursued by Joint Commission now.
17 So that's not a complete process but where it is at.
18 So I would just be very broad, you know, in who does
19 what to whom. But I think it is a good idea.

20 MR. FUTCH: All right. Thank you.

21 DR. SCHENKMAN: Does any other place publish a
22 letter like this for Florida physicians? Because a
23 lot of them are never going to open their mail.

24 MR. FUTCH: I should ask you folks that. The

1 suggestion was made to put it into the Board of
2 Medicine newsletter. I'm not sure about the space
3 limitations. I've never actually seen but one issue
4 of that, eight years ago, on another issue I was
5 involved in. What do physicians read? How does one
6 get their attention?

7 CHAIRMAN: JANOWITZ: People will probably hate me
8 for staying this, but, you know, we have special CE
9 requirements for domestic violence and AIDS. Why not
10 have one created for radiation safety?

11 MR. FUTCH: That's an excellent idea.

12 DR. SCHENKMAN: Great idea. That will get
13 everybody's attention. Trade out HIV for that.

14 MS. BONANNO: Been beat over the head by HIV so
15 much. Every other year, every other.

16 MR. FUTCH: Stepping into the lovely area of
17 things required by the Legislature and law, you know,
18 like increased caps for x-ray and all of those things.
19 Certain people have ideas on what everyone should do
20 and things. You know how that happens. But I
21 appreciate that, and we pursue that.

22 MR. GUIDRY: What is your dose range for a CT?

23 CHAIRMAN JANOWITZ: Up to about -- prior CTA is
24 the current culprit about -- well, it used to be about

1 twelve to fourteen millisieverts and newer dose
2 reduction has it down to about three or four. But --

3 MR. GUIDRY: Three or four millisieverts?

4 CHAIRMAN JANOWITZ: Yes. Dual isotope cardiac
5 studies would be twenty-four millisieverts.

6 MS. DROTAR: They are supposed to be under five a
7 year, right, for any person?

8 MR. FUTCH: That's occupational. It doesn't apply
9 to the patient.

10 DR. SCHENKMAN: But then you also get a lot of
11 patients, cancer patients who routinely have multiple
12 CAT scans every other month.

13 MR. FUTCH: They are probably driving it up.

14 DR. SCHENKMAN: And it is additive.

15 MS. BONANNO: They are driving it up.

16 MR. FUTCH: Oh, year, you can't walk into an ER
17 these days and not get a CAT scan or something.

18 DR. SCHENKMAN: Right.

19 MR. TINEO: The other groups are likely to go
20 after are physician assistants and nurse
21 practitioners. The Physician Assistants are in no
22 particular group because they are operating under the
23 license of the physicians and they are basically the
24 ones ordering a lot of these procedures under those

1 physician's guidance. We just need to bring them to
2 their attention also because this is an issue.

3 CHAIRMAN JANOWITZ: This is something a little bit
4 different (holds up small packet). Most of you
5 haven't seen this yet but it is related to this issue
6 of the radiation. This is actually from a fellow I've
7 known for many years who started off doing EBT. But
8 this is Bioshield-Radiation Formula Two, Multiple
9 Antioxidant Micronutrients to address Radiation
10 Exposure. And this company would like every patient
11 going for the x-ray exam to take these pills prior to
12 the exam to reduce radiation exposure.

13 If anyone would like to see that, I'll pass it
14 around.

15 ANONYMOUS: If you just eat a portion of a bar of
16 dial soap.

17 CHAIRMAN JANOWITZ: It is Vitamin A, Vitamin C,
18 Vitamin E, and Alpha-lipoic.

19 MS. BONNANO: It reduce free radicals.

20 CHAIRMAN JANOWITZ: N-Acetyl-L-Cysteine.

21 ANONYMOUS: Stop breathing and you will reduce
22 free radicals right away.

23 MS. BONNANO: For how long? Forever.

24 MR. FUTCH: Jerome, you had asked about doses

1 from some of these procedures. I've got this printout
2 from the Health Physic's Society's website that I keep
3 with me. Once in a while somebody asks questions like
4 that. They've got CT abdomen, effective dose, 1 Rem.
5 CT bones the same thing. I don't know what that
6 stands for but --

7 DR. WILLIAMS: Per cutaneous Coronary Angina.

8 MR. FUTCH: Heart study. It says 750 millirem to
9 5.7 Rem. So these are higher dose procedures that are
10 singled out.

11 MR. GUIDRY: And those are upper bound, I would
12 presume.

13 MR. FUTCH: Well, they give a range on a couple.
14 On the rest of them they give like an average based
15 upon different amounts of images that they've
16 measured.

17 MR. GUIDRY: So they are total body then?

18 MR. FUTCH: Yes.

19 MR. *: Now, one of the things that is stated in
20 this letter has to do with children.

21 MS. BONANNO: Right.

22 MR. GUIDRY: You would presume that a physician
23 giving a CT scan to someone my age would, it's not the
24 same as giving a dose to a child that's got seventy

1 years of life ahead of them. But what is the
2 children? Why are children getting these?

3 CHAIRMAN JANOWITZ: ER.

4 DR. WILLIAMS: They walk in with abdominal pain,
5 rule out appendicitis.

6 MS BONANNO: ER injuries, everybody gets it. They
7 have a head injury they get a CT. Called protecting
8 yourself from malpractice.

9 MR. GUIDRY: They're doing it defensively.

10 DR. SCHENKMAN: Yes. You bet.

11 MR. FUTCH: Well, a lot of it is defensively and
12 some of it --

13 DR. SCHENKMAN: Not all of it but for sure if you
14 have a kid with a head injury you do a CT.

15 MR. FUTCH: The implication from reading a lot of
16 these letters from states and so forth is that while
17 there are some knowledgeable people, such as the tech,
18 and a nuclear medicine physician, and the oncologist,
19 radiologist, the remainder of the ordering and
20 referring medical community doesn't, I guess, think of
21 or see the difference between a diagnostic radiograph
22 of the chest versus a CT of the abdomen and pelvis.
23 To them it is about the same thing.

24 CHAIRMAN JANOWITZ: They are looking at the

1 benefit, not the risk factor.

2 MS. BONANNO: Right.

3 CHAIRMAN JANOWITZ: You know, when I was in
4 medical school it was acceptable to have a positive
5 appendectomy rate of ten percent. That was nine out of
6 ten appendectomies turned out to have a normal
7 appendix. Nowadays it is probably reversed.

8 DR. SCHENKMAN: It is reversed.

9 CHAIRMAN JANOWITZ: Ninety percent have a normal
10 appendix. So your diagnostic accuracy need for *
11 surgery has gone down tremendously but at a cost of
12 radiation.

13 MR. GUIDRY: Now, these exposures, apparently no
14 of this has happened -- I think the last NCRP report
15 was '80?

16 MR. FUTCH: Yes.

17 MR. GUIDRY: When did kids start getting these?
18 Ten years ago? Fifteen years ago? When did this
19 really --

20 DR. SCHENKMAN: Twenty years ago.

21 MR. DIELMAN: Twenty.

22 Dr. WILLIAMS: But it's become much more common in
23 the last five years.

24 MS. BONANNO: But now it is faster and easier to

1 do a kid. You put them in the kids' well.

2 MR. GUIDRY: Are we seeing any effects? Has
3 anyone noted --

4 CHAIRMAN JANOWITZ: There's a study going in
5 England right now but there's been no study that's
6 shown any definitive effects that I'm aware of.

7 DR. SCHENKMAN: And it's also -- you also have to
8 realize that in the last seven years, five to seven
9 years, the technology has gotten better for CT. Many,
10 many more CT's are being done on adults and children.
11 So --

12 MR. GUIDRY: So it's geometric.

13 DR. SCHENKMAN: Yes. So even if they do a study
14 now it may not reflect what the current practice of
15 radiation exposure is.

16 CHAIRMAN JANOWITZ: Five to ten years ago it was
17 unusual for radiologists to have to come in at night
18 to read a CT scan. Now we do them twenty-four hours a
19 day. We've got -- we just * probably 70 or 100 CAT
20 scans done overnight from midnight to 7:00 a.m.

21 MR. GUIDRY: That's incredible.

22 MS. BONANNO: It is, isn't it.

23 MR. BURRESS: This was written in September '08.
24 And March '09 press releases came out from the ACR

1 (and others) that cover a lot of the issues that were
2 swept out of this one. They have the age issues in
3 there; they have the kids. Eight to ten percent of
4 CT's are done on children.

5 Most of them says over fifty-four percent are
6 done on adults over age fifty-five, and they've been
7 increasing at ten percent per year over the last
8 decade, the number of CT exams. So the collective
9 dose is increasing even though the individual patient
10 dose has actually dropped over the same time period.
11 So a lot of these are addressed and the ACR does a
12 real good job about saying what we should do, if
13 anything, and what does this mean. They have a risk
14 benefit paper. So the resources I think are already
15 in place on line.

16 MR. FUTCH: One of the things you want to do is,
17 if it's not done is point out those more recent
18 resources and tie them into the letter and give them
19 ways to get a hold of that from the internet, I guess.

20 MR. BURRESS: Yeah. They're written for a lot of
21 different groups, too. AAPM reports quite technical.
22 Obviously not, I don't think, for lay people.

23 CHAIRMAN JANOWITZ: I would rather do that than
24 domestic violence.

1 DR. SCHENKMAN: You might also be able to get the
2 letter into the separate Florida Medical Speciality
3 Journal that come out.

4 CHAIRMAN JANOWITZ: Again, they won't be read.
5 Okay.

6 DR. SCHENKMAN: Make a big announcement.

7 CHAIRMAN JANOWITZ: All right. This is always the
8 fun part. We have -- I always like to give you a
9 little window into the questions that we get.

10 MS. BONANNO: It's always amusing.

11 MR. FUTCH: This time we actually had one this
12 time that came from, not from people calling up and
13 asking questions but from one of our cases that came
14 before the discipline panel at the Department. We were
15 asked to, something along basically the hospital,
16 Diagnostic Imaging Center, had a patient who was
17 undergoing an MRI with Gadolinium contrast.

18 MS. BONANNO: NSF.

19 MR. FUTCH: And it goes through the whole scenario
20 but it goes something like this. The patient comes
21 in, they go through all the normal preparatory steps and
22 consents and the rest of it. And they fill all the
23 forms out, and "Are you allergic to contrast? Do you
24 have kidney problems?" and so forth and so on.

1 To make a long story short, the technologist
2 appeared to do everything correctly in giving the
3 contrast but the person had some type of reaction --
4 it wasn't specified in any of the literature we saw.
5 Started foaming at the mouth, airways blocked off.
6 They called for an ambulance -- because there was no
7 physician, or physician assistant, or anyone else in
8 the facility; this was a free-standing diagnostic
9 imaging center -- to provide emergency life saving
10 care. And by the time the ambulance got there the
11 person basically expired on the way to the hospital.

12 So this -- I brought this one up because it kind
13 of gels with a lot of the questions we used to get
14 which are -- from technologists who work in free-
15 standing diagnostic imaging centers who say that they
16 are giving contrast and they don't feel comfortable
17 giving the contrast in that setting because there is
18 no one there who can help out in just this kind of
19 situation when it occurs.

20 So I just wanted to run the whole thing by you so
21 you knew that both the questions continue and there is
22 at least one case, probably more. And ask you if we
23 need to do anything differently from our end and what
24 that might be.

1 I think one of the attorneys on the panel who is
2 going to initiate a communication with the Board of
3 Medication staff and try and clarify what authority,
4 regulatory authority they have over these kind of
5 tests being performed in the free-standing centers.
6 You know, could someone, for example, write a
7 regulation to require that the contrast only be given
8 if there are certain types of personnel available, and
9 then what does that mean, what kind of personnel, and
10 how available is available, and all that.

11 What is the sense of --

12 MR. BONANNO: I call on PET centers every day of
13 my life and those that do CT never inject contrast
14 without a physician present in the building. So I --
15 now, as to Gadolinium, that's a whole different thing
16 because I had not heard of allergic reactions to
17 Gadolinium.

18 DR. WILLIAMS: A lot of additional risk.

19 MR. FUTCH: As far as I knew, I thought a
20 physician was required to be on premises.

21 MS. BONANNO: For contrast.

22 DR. SCHENKMAN: I thought it was required, too.

23 MR. FUTCH: Part of the paperwork that was
24 developed for the case was a printout of the

1 information of the FDA approval for Gadolinium. And
2 they said that I think about two years ago there was a
3 revision. And in the FDA paperwork it says they
4 recommend only doing it when there are physicians
5 present or available, whatever term they used for
6 that.

7 So, I don't know if this was just on the
8 periphery of --

9 MS. BONANNO: I wonder if there is a Medicare
10 requirement like that for stress --

11 MR. FUTCH: Does anyone know of a requirement.

12 MR. DIELMAN: May I just address that, Carol?
13 It's purely all about standards of practice and not
14 legality. And there is a source. The American
15 College of Radiology has a book that looks like a
16 Sears and Roebuck catalog. It's called the Standards
17 of Practice. All right? And they address that very
18 issue. And you can also get it, by the way, on disk.
19 And the American College of Radiology in Virginia,
20 call them, readily available.

21 MR. FUTCH: But those are not legally --

22 MR. DIELMAN: No. They are standards of practice
23 but they are not legal in the sense of a regulation or
24 a statute. But in a court of law they are precedent

1 and they are used as precedent briefly.

2 CHAIRMAN JANOWITZ: If you look at the beginning,
3 though, it says: These standards are not meant to be
4 used --

5 MR. DIELMAN: Exactly. Because the standards of
6 practice is just that. It is the standard of
7 practice. You can argue the standard of practice
8 changes from place to place, so if you're in a rural
9 hospital you could argue there is no physician
10 available, you know, such and such. But the point is
11 that and a myriad of other subjects of a similar
12 nature are covered in that document.

13 Mr. FUTCH: Yes. I'll take a look at that.

14 MS. BONANNO: Some of the Medicare requirements
15 for certain tests require the presence, direct
16 supervision and the physician has to be there. But
17 that's the stress testing.

18 MR. DIELMAN: That's for payment, though, but it
19 doesn't -- none of them that I'm aware of, Carol --

20 MS. BONANNO: Yeah, that's for payment, yeah.

21 MR. DIELMAN: -- have anything to do with the
22 injection or things like that.

23 CHAIRMAN JANOWITZ: Actually the requirement for
24 Gadolinium has got much tougher restrictions.

1 MS. BONANNO: Because of NSF, but --

2 MR. DIELMAN: Exactly. There's been a lot of
3 issues with that.

4 MS. BONANNO: But that doesn't happen right away
5 while you're under the camera. It's two or three days
6 later when your --

7 DR. SCHENKMAN: Your kidneys shut down.

8 MS. BONANNO: -- your kidneys shut down and then
9 you get -- so do your lungs.

10 MS. DROTAR: Contrast in these outpatient
11 facilities and there are many physicians who interpret
12 that very loosely about how close they need to be when
13 contrast is given. Because there are some because I
14 get questions from technologists about that.

15 CHAIRMAN JANOWITZ: There is another issue that's
16 also which I've had some arguments with
17 administrators. Their feeling is if there is a
18 reaction their response is to call 9-1-1 as opposed to
19 being prepared to handle severe reactions in a
20 facility. And we've gotten them to the point where
21 they have basic drugs available for some of this but
22 in an outpatient setting do we want to permit contrast
23 injections with allergic possibilities and relying
24 upon 9-1-1?

1 MS. BONANNO: No.

2 DR. WILLIAMS: But that's the standard. I mean,
3 we operate a free-standing center, the hospital does,
4 as soon as you open the front door of the hospital and
5 it is operated as an outpatient center. And if
6 somebody has an allergic reaction we have a full-blown
7 imaging center and the procedure is to call 9-1-1. I
8 mean, if you want to go to ACLS, that's drips and
9 strips, you know, and you've got to be certified for
10 that. That's a whole different level of competence
11 that very few radiologists or radiation technologists
12 have. We're BCLS, you know, and we can handle --

13 CHAIRMAN JANOWITZ: Well, I think every
14 radiologist should be able to give an antihistamines,
15 maybe some steroids or adrenalin, if necessary.

16 DR. WILLIAMS: I guess as simple as that, yeah.
17 But there is no halfway as far as the regulatory
18 language goes. It is either BCLS or ACLS, and so you
19 can't just say: Well, I'll get some Benedryl. You
20 know, that won't cut it from the standpoint of the
21 competence and the skill set required to manage the
22 case. So --

23 DR. SCHENKMAN: Yes, but it may keep the patient
24 around long enough for your 9-1-1 call to make a

1 difference.

2 DR. WILLIAMS: Well, the problem is, is that
3 that's not the way the regulatory authorities look at
4 it. I mean, I'm all for saving patients and
5 everything, and we have patients once or twice a week,
6 you know, that get issues that we have to, you know,
7 deal with. So I'm right there with you on that. But,
8 you know, I'm a BCLS certified physician and if a
9 patient has a reaction we're going to call 9-1-1.
10 That is the policy and I guarantee you that almost all
11 outpatient facilities imaging centers in the state
12 recognize that and operate that way.

13 CHAIRMAN JANOWITZ: Well then probably there's no
14 requirement to have a DR. in there because you just
15 call 9-1-1.

16 DR. WILLIAMS: That I don't know about, you know.
17 Our policy is if you use contrast to have a physician
18 on site immediately available no matter what the
19 contrast agent is. I thought that was the state
20 requirement.

21 MS. BONANNO: I thought it was, too, because I've
22 never gone in one with -- you know, I've seen them
23 sitting there waiting for the DR. to show up before
24 they inject the patient. I've seen that.

1 Technologists don't want to inject it themselves. I
2 had rather have an EMT resuscitate than you.

3 ANONYMOUS: There is nothing funny about
4 radiologist and a code.

5 MS. BONANNO: They do it all the time.

6 CHAIRMAN JANOWITZ: The point is to keep it from
7 getting to that.

8 MS. BONANNO: Right, right.

9 MS. DROTAR: Part of it is just having that other
10 person there that can say yes, you know, if there is a
11 minor reaction, if you're the technologist, if you're
12 there by yourself, you can't really evaluate that
13 patient to give that. I mean, it is an emergency but,
14 you know, it is stepping outside of your scope of
15 practice. And then the technologist is being required
16 to act on this level of a physician or a nurse and
17 that's outside the standard of practice. So, it's not
18 going to --

19 CHAIRMAN JANOWITZ: I'm sorry. I'm not advocating
20 not having a physician.

21 MS. DROTAR: Oh, okay.

22 DR. SCHENKMAN: No. He's advocating having one
23 there.

24 MS. BONANNO: You would still call 9-1-1, though.

1 DR. ATHERTON: But the physician should call 9-1-
2 1.

3 MS. DROTAR: If a physician is there --

4 MS. BONANNO: Hey, call 9-1-1. But I ran into a
5 technologist who called me up and said: I'm working
6 for a cardiologist, single practice cardiologist, with
7 a nuclear camera in his office. And he wanted that
8 technologist to stress the patients when he wasn't in
9 the office.

10 DR. WILLIAMS: That's his liability.

11 MS. BONANNO: I said: Quit that job and run.

12 MR. TINEO: Most facilities are with hospitals,
13 associated with hospitals. They probably follow the
14 general commission guidelines which then are strict
15 and you do have to have certain physician supervision.
16 So the problem you have is that there are some
17 outpatient facilities that are not associated with
18 hospitals, so they are not joint commission
19 accredited. And those are the ones that are, like
20 Carol says, they do the very minimum to follow.

21 MS. BONANNO: Yes. There are PET centers where
22 the only physician affiliated with that PET center is
23 somebody who reads in Miami and this is in
24 Jacksonville. There are places like that. It's very

1 scary.

2 MR. FUTCH: Well, in the case -- in the instance
3 of the one case that we were just talking about before
4 we closed it with no action recommended, it appeared
5 to us that the technologist did everything they were
6 supposed to do. I mean, the only thing we even have
7 jurisdiction over anyway is the technologist and their
8 scopes and what they do. And I don't really know how
9 to get at this, or if it is get-at-able. But I thank
10 you for your comments.

11 The next issue -- I took these out of order,
12 actually. Sorry about that. The next issue was we
13 have had a couple of facilities with nuclear med techs
14 who called. And their question was -- I wasn't really
15 sure how to answer this. Their question was: How many
16 patients can a nuclear medicine tech monitor in
17 multiple rooms, in multiple facilities while they're
18 being scanned. And I asked them for more information.
19 And, as I understand it, it sounded like he was saying
20 there are at least multiple rooms in the same facility
21 and perhaps even one room in an adjacent facility
22 where a single technologist is, of course, giving the
23 radioisotope and sending folks to be imaged on a
24 machine and is, quote, responsible for this, according

1 to the facility, for the scan. So, you know, they are
2 signing off on all these scans. They are the ones who
3 did all the scans. But they are physically, of
4 course, in one place at a time and they have multiple
5 patients undergoing multiple scans at the same time
6 that they are responsible for.

7 MR. TINEO: Who is watching the patient?

8 MR. FUTCH: They are.

9 MS. BONANNO: How can they look at two scans at
10 the same time, though?

11 MR. FUTCH: They put one on and they start it
12 going and then they go do the next one. Then they
13 come back and check the first one and then --

14 MS. BONANNO: That would be a cardiology office.

15 MR. TINEO: I would recommend that that tech would
16 find another job.

17 MS. BONANNO: Yeah.

18 MR. FUTCH: That's been many of my
19 recommendations.

20 MS. BONANNO: Yeah. That's crazy.

21 MR. FUTCH: That's crazy, I guess.

22 MS. BONANNO: The liability there, if a patient
23 fell off a bed, if a patient stood up or had a
24 reaction.

1 MR. TINEO: The patient could fall out of the bed
2 when you are in the room.

3 MR. BONANNO: Yeah.

4 MR. TINEO: Never mind not being in the room.

5 MR. DIELMAN: You've got two options there. For
6 example, the American College of Radiology standard of
7 practice covers that type thing, Again they are not
8 legal but they are standards of practice. But the
9 other source that you have is the Joint Commission
10 Standards for hospitals, institutions, and for
11 outpatient facilities. And they are not prescriptive
12 any more. Many of us think of the Joint Commission
13 as being very prescriptive as it was 2000, somebody
14 got it prior to that. Now they are very global so
15 there is no specific requirements.

16 But what they do, as was stated over here, is
17 they put the responsibility onto the institution to
18 create a procedure to address that issue so it becomes
19 institutional.

20 MS. BONANNO: Yes. They want to see your
21 procedures, how you're handling that.

22 MR. TINEO: Risk Management also takes in a big
23 part of that. I mean, I don't know if that facility
24 has any Risk Management Department, but that's another

1 area that you can refer them to because that would be
2 a Risk Management issue.

3 CHAIRMAN JANOWITZ: I'm on several of the ACRNS
4 and M Practice and Standards committees and I don't
5 think we've ever even thought that we would have to
6 put in there that technologist should be assigned one
7 --

8 MS. BONANNO: One per camera.

9 CHAIRMAN JANOWITZ: -- one for one. And I think
10 that's generally been understood by everybody --

11 DR. SCHENKMAN: If they have any common sense.

12 CHAIRMAN JANOWITZ: -- the technologist is
13 responsible for one patient at a time. They can't do
14 it. They need to write in the standards that it is --

15 MR. TINEO: But when you've got the independent
16 practice.

17 CHAIRMAN JANOWITZ: I doubt if it is even in there
18 because probably no one ever thought of it.

19 ANONYMOUS: Look under 12 don't recall seeing it
20 but that's where I thought.

21 CHAIRMAN JANOWITZ: It never says anything about
22 how many technologists would --

23 MS. BONANNO: You could probably help people avoid
24 that when you're helping them write their procedure

1 manuals.

2 ANONYMOUS: And we do. That's where you write it
3 in when you are creating it.

4 MS. BONANNO: Right.

5 MR. BURRESS: That's why radiation -- why you are
6 all so important because radiation safety and
7 practices are extraordinarily important and compliance
8 issues in terms of actual functional operations. So
9 take yourselves seriously.

10 MS. BONANNO: Find another job and call Ray.

11 MS. DROTAR: I just want to double back for a
12 second because the facilities that are going to use
13 standards or create policies aren't the facilities
14 that we're talking about. Those are people that go in
15 and establish, you know, they need this person, and
16 that's the person that's there, and they've got three
17 machines. And here, you can run them all because
18 you're licensed to do so and you can do this number of
19 procedures.

20 We're not talking about our usual standard and
21 the standards that you all have. And I think that
22 there are areas out there that need to protect -- you
23 know, our job is to protect people in the state of
24 Florida, but also technologists that are asked to do

1 those procedures and perform those procedures. And as
2 much as we would like to all think that, you know, you
3 would have that altruistic attitude that you can, you
4 know, run and fly and you're not going to do it, they
5 will find someone else who will.

6 So where do we have the wherewithal to stand
7 there and say, "I cannot do this." Do we have
8 anything? I think that's what you were trying to get
9 at. Where can we or can we in any way put something
10 in there that will protect technologists so that they
11 don't have to do something that is not what they would
12 want to do and not the way that they would want to
13 perform procedures?

14 MR. FUTCH: In other words, write a regulation.

15 MS. BONANNO: A rule, a rule.

16 DR. ATHERTON: Profit versus patient care and
17 that's the thing that --

18 MS. BONANNO: Yeah.

19 MR. DIELMAN: That's an excellent idea. I'm
20 wondering in practice --

21 CHAIRMAN JANOWITZ: Well, look what happens to --

22 MS. BONANNO: Yeah.

23 CHAIRMAN JANOWITZ: Look what happens when the
24 nurses try to go to the Legislature and get staffing

1 ratios.

2 MR. DIELMAN: Exactly. That's exactly where I'm
3 going to go. That will not go anywhere.

4 DR. SCHENKMAN: Is there a way to not do it
5 through the legislation but --

6 Mr. FUTCH: Yeah. Try to write a regulation with
7 the authority that we have and see where the chips
8 fall. It is clearly a patient safety issue.

9 MS. BONANNO: Right.

10 MR. FUTCH: Well, let me ask you this. Would you
11 feel comfortable if we were to attempt to write a
12 regulation that said a nuclear medicine tech can only
13 monitor one patient being scanned at a time? Would
14 that be unduly restrictive upon --

15 MS. BONANNO: They could do two if they were in
16 one room right there. It would be possible.

17 ANONYMOUS: But if the rooms are configured
18 appropriately, then you could do four. You see where
19 I'm going.

20 MS. BONANNO: Yeah, yeah. So there you go and you
21 don't want that either.

22 MR. FUTCH: What if they use electronic means and
23 set up a camera and watch sixteen rooms at a time.

24 MS. BONANNO: Yeah.

1 MR. FUTCH: All the rings in a circle around me.

2 MR. DIELMAN: And that's the -- your own target.
3 That's exactly the issue. I don't think there's an
4 answer there. I think it would be an exercise in
5 futility.

6 DR. ATHERTON: And is nuclear medicine the only
7 modality that's an issue with?

8 Mr. FUTCH: I think it's the time factor.

9 MS. BONANNO: It probably is because in x-ray
10 you're only using MR, yeah. Those scanners are not
11 that --

12 MR. FUTCH: Multiple rooms. Which I feel obliged
13 to remind all of you it's just not the statutes.

14 MS. BONANNO: Oh, you're right.

15 CHAIRMAN JANOWITZ: It's either the machine
16 statute or the licensing statute.

17 MR. FUTCH: I appreciate the comments.

18 CHAIRMAN JANOWITZ: I had a procedure a couple of
19 weeks ago and I got claustrophobic in there.

20 MS. BONANNO: Did you?

21 CHAIRMAN JANOWITZ: And I was looking for the
22 tech. I'm head of this department. I would be very
23 upset if the tech was not immediately available.

24 MS. BONANNO: Yeah, yeah. Well, I know when we

1 were on --

2 DR. SCHENKMAN: We could try writing the
3 regulation and see where it goes.

4 MS. DROTAR: A little bit of something is a lot
5 better than nothing at all. At least there's a way --
6 there's something and then that sets kind of a
7 precedent if something else is needed. We might not
8 need anything more than just yeah, it is like that.

9 Mr. FUTCH: Does anyone know of a state out there
10 that does this or anything that I can copy?

11 (Inaudible)

12 CHAIRMAN JANOWITZ: Well, I'll tell you one thing.
13 I'll try and get it entered in all of the SNM
14 standards. You know, that actually is quite useful.
15 A lot of times in a conversation on the phone I get a
16 long way with turning it around to the legal side of
17 things. And starting to talk -- not that I'm giving
18 legal advice or anything, but just saying, you know,
19 there is this standard out there and this is what it
20 says. It is not explicit Florida statute or
21 regulation but it is available for any enterprising
22 attorney who wants to come sue you people if you do
23 this and someone dies, for example, or falls off the
24 table and breaks their neck, whatever happens.

1 CHAIRMAN JANOWITZ: It should probably be a
2 separate patient care standard that applies to all of
3 the procedure standards especially in nuclear
4 medicine. I don't think we have that.

5 MR. FUTCH: I will look into it. And then last
6 but not least in this particular area, I wrote the
7 verbal orders. I'm not rally why it didn't have
8 anything to do with it. This one came back to us.
9 One of the manufacturers asked us this question. I
10 guess it is coming back to them from their facilities
11 or through their continuing education or something
12 like that. But anyway, it came from the manufacturer
13 and they wanted to know, they were using basic machine
14 operators to do things that are prohibited by our
15 regulations for basic machine operators like mobile
16 imaging and some other things like that. And we
17 pointed out the regulation and pointed -- actually had
18 a regulation, actually had a statute. Pointed out the
19 regulation, pointed out the statute and then they
20 wanted to come back and basically what they are trying
21 to do is kind of a partial practice of technology and
22 figure out which part is okay for basic.

23 They wanted to know, for example, could they at
24 least print the film. It's funny that you mentioned

1 that today about digital images and how important it
2 is to print the film properly. Can the film be
3 printed by someone who is either not certified or
4 someone who is a basic doing the procedure that
5 they're not supposed to do by regulation. Could they
6 turn the unit on? Not turn the beam on but turn the
7 power on.

8 And usually at this point I just kind of stop and
9 say: I've given you the statute. I've given you the
10 regulation. Go figure it out. We're not in the
11 business of picking things apart.

12 MR. FUTCH: But I just wanted to run it by
13 you and see what you thought about this. To me,
14 again, it looks like trying to kind of parse apart all
15 the different parts that go into making the practice
16 of technology into: Well, how much skill does it
17 really take to turn on an x-ray machine, and how much
18 skill does it really take to print an image from
19 whatever system you're using?

20 Thoughts, comments. Am I being too restrictive.
21 Should we just let that happen or am I on the right
22 track?

23 MS. DROTAR: That's bizarre.

24 DR. WILLIAMS: I mean, plenty of folks actually

1 work in the hospital setting. And so, are you talking
2 about the OR, that's a common question we have like,
3 or can the physician have a nurse come in and bring it
4 and set it up and then wait for the DR. to show up. We
5 get that question a lot in that one particular area,
6 who is allowed to actually touch the equipment. The
7 physicians are allowed to operate it so can they
8 supervise a nurse set up the equipment in a similar
9 vein as this. Do the QA in the morning be first one to
10 turn on the use CT. Got some small clinic they turn
11 on the CT scanner get, do the automatic QA on the
12 phone. What is the calibrations.

13 And so yeah, we see that a lot in actual
14 practice.

15 MR. TINEO: You've got a mini-CR operated by the
16 physicians and the nurse in the OR, no x-ray tech
17 possibly can't be the nurse.

18 MS. BONANNO: Can't be the nurse.

19 MR. TINEO: Possibly the physician is operating
20 it.

21 (Many talking at once.)

22 MR. RICHARDSON: But there is several data on
23 that. I don't want to necessarily complicate things
24 because there are what you just pointed out lots of

1 different aspects to --

2 MS. BONANNO: This is a multiple-

3 Mr. FUTCH: No, they didn't specify. A
4 manufacturer so they kind of asked me a global
5 question.

6 MR. FUTCH: In the case of the physician being in
7 the room, I think there's always been that argument
8 of: Okay. Well, he's actually using the foot pedal
9 and he's simply asking someone to move the head
10 around, turn a knob on a machine. Is it him doing the
11 practice or is it the other person doing the practice.
12 I don't want to try to fall into that. I don't know
13 where you draw the line on that one.

14 MR. DIELMAN: James, if I may, years ago I believe
15 you authored a document and I think --

16 MR. FUTCH: Now don't go bringing back stuff that
17 I wrote long ago.

18 MR. DIELMAN: You authored a document that I
19 thought was very good and I think, especially the
20 inspectors in this case and many physicists as well
21 who have dealt with it for a long time, and
22 essentially what you said -- and I'm paraphrasing it -
23 - is that a person could move the piece of equipment
24 from Point A to Point B and plug it into the wall.

1 But they couldn't position the patient and they could
2 not set the techniques. That answers your question,
3 Mark. They can't push any buttons in order to start
4 anything to do with the technique. And I think there
5 was a third element. But that sort of answers your
6 question. In other words, when it comes to the scope
7 of practice on setting the techniques it is a scope of
8 practice issue. You know, doing QA on the scope of
9 practice, that type of thing.

10 But moving it and plugging it in, is not, or
11 positioning a patient.

12 MR. FUTCH: Maybe we should just --

13 DR. SCHENKMAN: Take that back up.

14 MR. FUTCH: -- find the memo again. It's in here
15 someplace.

16 DR. SCHENKMAN: Well, I mean, I don't really think
17 that turning a machine on necessarily has to be done
18 by somebody who is a basic operator, or licensed, or
19 whatever. But I think everything else that ends up as
20 part of the decisions you're making for a patient
21 should be done by qualified people.

22 DR. ATHERTON: Or if the patient is in the room
23 there has to be a qualified person present. Because
24 if the patient is not there then we don't really have

1 to protect them.

2 MR. FUTCH: Any other thoughts?

3 MR. BURRESS: We use x-ray machines all the time
4 on campus, not on people. And you do the same thing
5 all the way up to actually administering ionizing
6 radiation to a patient. That's something that most
7 people can figure out. Athletic trainers is another
8 group we don't even talk about here but they do the
9 same thing with injured football players and then the
10 radiologist comes in and administers the dose, we're
11 told. They are there. They have sports DR.s that are
12 there. But I don't see -- I wouldn't see a problem
13 with it as long -- I mean, the button has to have the
14 warning, right, that it produces x-rays when energized
15 and that's where the qualifications kick in when you
16 actually hit the switch, or turn on the button, or it
17 go on the computer to run protocol.

18 MR. FUTCH: How many sometimes when you print the
19 film.

20 DR. SCHENKMAN: I mean if the film is your only
21 thing if you don't have any other original but the
22 film then it needs to be done by somebody who is
23 qualified to look at the film and make sure it is
24 okay.

1 CHAIRMAN JANOWITZ: No, I think that's pushing it
2 a little bit.

3 DR. SCHENKMAN: What? In --

4 CHAIRMAN JANOWITZ: I don't think you need a
5 technologist to develop film. Nobody develops film
6 anymore anyway. It's all automatic processes. You
7 don't need a certified tech to put a film in the
8 processor.

9 MS. BONANNO: Oh, are they talking about the
10 machine itself produces the picture.

11 DR. SCHENKMAN: No. But what I'm saying is you
12 need somebody to be able to look at it when it is
13 processed and say: Yes, this is decent quality, this
14 is readable. I mean, look at how many things come out
15 of a processor that the temperature is wrong or
16 whatever. That's what I'm talking about. The quality
17 of the film, not processing of it itself. Somebody
18 needs to get a look at it. That's your only document.

19 CHAIRMAN JANOWITZ: Right. Okay. Surely a
20 technologist should do that, look at it, yes, if not
21 the DR..

22 DR. SCHENKMAN: If there is a DR. there. If it is
23 a facility that does contrast, not a DR., you only
24 have two in the facility.

1 MS. DROTAR: Were you are talking about a facility
2 that would have a DR. and MDO?

3 THE FUTCH: They didn't specify so we would have
4 to to assume the worse case, no DR..

5 Well, that's it for that one. Okay. Do we have
6 any further items? Okay. Are there any issues that
7 any of the members of the council want to bring up?
8 Hearing none --

9 MR. DIELMAN: May I open one up, please? First of
10 all, I guess a little background information. I'm a
11 liaison for scientists to the Joint Commission on
12 radiation issues and the CRCPD and a couple of other
13 groups. In particular as most people know here, the
14 Joint Commission established that a dose to a patient
15 at 1500 rad in fluoroscopy really here -- 1500 rads to
16 a patient in single field in fluoroscopy as a secular
17 even and DR.s in in therapy, factors in therapy we're
18 all well aware of.

19 This state, Florida, years ago was very much
20 involved, along with FDA and lots of other people, of
21 raising this issue of fluoroscopy and the need for
22 training of the operator, whoever they were. And
23 appropriate use of the equipment related to a patient.

24 It would seem to me, from the point of your

1 radiation protection, that Florida through this board
2 or other means should readdress that, that whole
3 scenario.

4 The Joint Commission has established, you know,
5 that type of circumstances and special events. It
6 would seem to me that there should be some regulation,
7 perhaps, or something more than the standard of
8 practice related to the use of fluoroscopy in Florida
9 by persons who are untrained and/or circumstances
10 where the equipment is used inappropriately.

11 And I will give you just a couple of examples.
12 And you will find parts of this in your literature
13 again, technique groups, interventional radiology
14 groups, National Cancer Institute, et cetera. If you
15 don't have it I would be happy to give you the links
16 to those locations.

17 But this happens frequently particularly in
18 interventional circumstances. It does not happen
19 terribly often in the radiology, traditional radiology
20 department now doing CR's. But it certainly does
21 happen elsewhere.

22 The other day, at one of the hospitals that the
23 provider deals with, we received a call. The patient
24 -- well, first of all, the procedure lasted six hours.

1 And we figured up that there was 600 -- I'm sorry --
2 168 minutes of fluor time to the patient.

3 This hospital has taken the position that
4 anything over thirty minutes of fluor, it is argued
5 that -- it is not equivalent but arguably equivalent
6 to about 2 gray, 200 rad. which is where you see
7 erythema and so forth.

8 But they have an internal mechanism -- and
9 they're doing this to meet Drug Commission
10 requirements -- that they will have a follow up
11 mechanism of that patient to be sure that the
12 referring physician is aware that the patient had
13 greater than thirty minutes. Some facilities have
14 used sixty minutes and so forth.

15 There is the physicist which all the physicist
16 know here by the name of Wagner in Texas who has
17 written extensively on this and he uses the sixty
18 minutes in that criteria.

19 But nevertheless, in this particular case there
20 was 168 minutes fluor time using the -- we determined
21 off of the DAP, the DAP monitor. Are you familiar
22 with that term, the dose area monitors on the pieces
23 of equipment which are essentially accurate up to
24 fifty or a hundred percent radio emit. In any event,

1 a patient essentially received 730 rads off the
2 monitor. So this does happen. And then what you see
3 in the literature is examples of patients who have had
4 skin grafts after they have been -- have ulcers
5 identified.

6 They will have a procedure. Six months later,
7 literally six months later in some cases, they go to
8 the referring physicians, back to their primary care
9 physician or referring physician, and find that they
10 have this ulcer that requires then a skin graft. It
11 kind of runs all over the place. But FDA has
12 accumulated data about this and so forth.

13 I would just like to suggest that this group
14 actively consider, if not in this meeting perhaps in
15 future meetings, the prospect of having at least
16 perhaps a registry of the number of cases or some --
17 there be some monitoring. Or should there be an
18 endorsement by this advisory committee today that you
19 support the Joint Commission efforts, et cetera.

20 It seems to me that something should take place
21 in that. I think most of the physicist in here will
22 agree, not excluding the physicians or technologists,
23 but we see a lot of this, a great deal of it. And it
24 is one of the big contributors, of course, to the

1 increase in radiation dose.

2 CHAIRMAN JANOWITZ: Would that be reported under
3 our current regs to Don's office?

4 MR. DIELMAN: No, because it is x-ray oriented
5 predominantly. And, you know, most of our reporting
6 requirements, generalizing here, most of our reporting
7 requirements have to do with radioactive materials.

8 MR. SEDDON: There is an FDA reporting requirement
9 on radio action. It's been around for a long time.
10 They don't have that much communication but a written
11 advisory that went out in like '92, '94 when this all
12 first came to light. Lot of objection out there, too.
13 And there is currently still on the books a
14 requirement radio action procedures supposed to report
15 it to the FDA.

16 MR. DIELMAN: That's kind of ironic that people -
17 - that's a good point, Mark and you mentioned that not
18 a lot of participated in it.. Joint Commission, we
19 were receiving commentary about it and we started
20 pushing to, you know, taking their position, in
21 addition to their own thoughts, by CRPPD and the FDA.
22 They introduced this at December of 2005 as part of
23 the Drug Commission issues.

24 Prior to that time they were receiving three or

1 four reports a year of that happening in hospitals.
2 Since they introduced the central DAP criteria, they
3 have not had one response. People are usually not
4 going to report it. Enough said already. Perhaps a
5 serious public health, radiation public health
6 problem, I think.

7 Thank you for allowing me to - -

8 MR. FUTCH: Ray, if you want to write that up.
9 And if you have any more would you forward it to us?

10 MR. DIELMAN: Oh, absolutely. I would be happy
11 to. I will send it to you.

12 Mr. FUTCH: And we will see if we can get it on
13 the calendar for next time and we'll talk about it.

14 Also, the JRC, do you have any recommended
15 language?

16 MR. DIELMAN: Yes, they do. Drafted and also
17 required by Florida our own bureau. And so yes, we
18 has that available, There is recommended language,
19 recommended training, and so forth.

20 MR. FUTCH: Thank you, Ray.

21 MS. DROTAR: Something else we could look at, too,
22 is California has separate requirements for
23 fluoroscopy training for technologists, and for DR.s
24 and physicians

1 MR. FUTCH: The only state in the nation, I
2 believe, that does.

3 MS. DROTAR: And it's not that intense but it is a
4 - - it is specified out for the - -

5 MR. FUTCH: How do you think that would go over in
6 Florida?

7 CHAIRMAN JANOWITZ: Don't hold your breath.

8 MR. DIELMAN: Well, that's why I'm bringing it up.

9 MR. FUTCH: Okay.

10 MS. DROTAR: Dr. Libby Brateman talked to us about
11 that a long time ago when she did the - - when first
12 she started attending the meetings. She had done work
13 at UF and had done measurements that actually went to,
14 I think, the part of what form at DDC.

15 DR. WILLIAMS: Jim, does it make any sense to
16 invite a cardiologist to join the advisory council.
17 They seem to be part of the problem.

18 CHAIRMAN JANOWITZ: We can certainly ask one to
19 come talk to us.

20 MR. FUTCH: We did have vacancies on the council.
21 I don't know.

22 ANONYMOUS: Actually that's an excellent idea.

23 MS. BONANNO: I think that's a great idea.

24 Mr. FUTCH: I don't know if we actually have a

1 position where we could - - We're always looking for
2 a licensed practitioner who is employed as a basic
3 machine operator. And then we have two lay person
4 positions which we can never fill. The problem with
5 those is they can't be techs or a member of any
6 closely related profession. Do you consider
7 cardiology to be --

8 MR. RICHARDSON: No.

9 Mr. FUTCH: Any more thought or discussion on
10 that? We're actually - - I think we stop at three
11 o'clock right now.

12 MS. BONANNO: I think that's a really good idea.

13 CHAIRMAN JANOWITZ: So why don't we focus on time
14 for the next meeting. The suggested dates are in
15 October. Are these Tuesdays or - -

16 MS. LIVINGSTON: Those are all Tuesdays.

17 CHAIRMAN JANOWITZ: The 13th, 6/20th or 27th. Does
18 anyone have any preference or know that they cannot
19 make those dates?

20 MR. GUIDRY: The later the better.

21 CHAIRMAN JANOWITZ: Why don't we consider the 13th
22 or 20th. And maybe you can check your schedules when
23 you get back and if you have a conflict get back to
24 Janice.

1 MS. LIVINGSTON: Yes. Send it to me.

2 CHAIRMAN JANOWITZ: Any other business? (No
3 response.)

4 MR. FUTCH: We wanted to let you know that
5 several members' terms are up in June or is going to
6 be up in June of this year. And we went through and
7 put the paperwork in, got all the recommended letters
8 and the status now is - -

9 MS. LIVINGSTON: They are approved and I've got
10 the letters and I'm going to send them out.

11 MR. FUTCH: So you should be seeing something
12 soon. Those of you who I think was Kathy, and Tim,
13 and DR. Janowitz. And we appreciate everyone who
14 serves. Obviously, I don't say that enough but I
15 should.

16 MS. LIVINGSTON: And the travel reimbursements
17 are in your packet. If you want to go ahead and get
18 the receipts to me, or if you want to send them to me,
19 I've also got self-addressed envelopes with me.

20 ANONYMOUS: Move for adjournment?

21 CHAIRMAN J: Okay. We're adjourned.

22

23

24

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24

CERTIFICATE OF NOTARY PUBLIC

STATE OF FLORIDA

COUNTY OF HILLSBOROUGH

I, Patricia K. Gough, a Notary Public in and for the State of Florida at Large, do hereby certify that the foregoing proceedings were taken before me in the cause, at the time and place, and in the presence of counsel as set out in the caption hereto, at Page 1 hereof; and that the foregoing typewritten transcript consisting of pages contained herein, inclusive, is a true record of the proceedings had at said session.

I FURTHER CERTIFY that I am neither an attorney or counsel of any of the parties in this cause, nor a relative or employee of any attorney or counsel employed by the parties hereto, nor financially interested in the event of said cause.

IN WITNESS WHEREOF, I have hereunto subscribed my name and affixed my seal this 17th day of May, 2009.

1

2 NOTARY PUBLIC, STATE OF FLORIDA AT LARGE

3 My Commission expires May 22, 2011