

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
26
27
28
29

FLORIDA DEPARTMENT OF HEALTH
ADVISORY COUNCIL ON RADIATION PROTECTION

May 4, 2010
Tampa Airport Marriott
Tampa International Airport
Tampa, Florida

Reporting:
Kathy L. Gorrell
State of Florida
Notary Public

ARGUS REPORTING
4010 West State Street
Tampa, Florida 33609
(813) 490-0003

1 ADVISORY COUNCIL MEMBERS

2
3 WARREN JANOWITZ, MD, JD, FACC, FAHA, CHAIR

4
5 TIM RICHARDSON, RT (R)

6
7 CAROL BONANNO, CNMT

8
9 KATHLEEN DROTAR, M Ed., RT (R(N(T)

10
11 RANDY SCHENKMAN, MD

12
13 PAUL BURRESS, CHP

14
15 ALBERTO TINEO, CNMT

16
17 JEROME GUIDRY, P.E., Q.E.P

18
19 WILLIAM (Bill) W. ATHERTON, DC

20
21 ALBERT V. ARMSTRONG, JR., DPM

22
23 MARK S. SEDDON, M.P., DABR, DABMP

24
25 TIMOTHY R. WILLIAMS, MD

26
27
28 STAFF:

29
30 JAMES FUTCH, ADMINISTRATOR, RADIATION CONTROL

31
32 WILLIAM PASSETTI, CHIEF OF FLORIDA BUREAU OF RADIATION

33
34 JANICE LIVINGSTON, RADIATION CONTROL

35
36 DEBBIE GILLEY, RADIATION CONTROL

37
38 DON STEINER, ADMINISTRATOR, RADIATION CONTROL

39
40 VICKI GRANT, MEDICAL QUALITY ASSURANCE (MQA)

41
42 GAIL CURRY: MEDICAL QUALITY ASSURANCE (MQA)

43
44
45 ADDITIONAL GUESTS:

46
47 RAY DIELMAN

48
49 TERRY FRADY, RADIATION CONTROL

50
51
52
53

1 P R O C E E D I N G S

2 THE CHAIR: Why don't we all get seated. Good
3 Morning, everyone. I don't think there are any new
4 members of the council, but why don't we all introduce
5 ourselves.

6 MR. TINEO: Alberto Tineo, Halifax Medical
7 Center, Daytona Beach.

8 MS. BONANNO: Carol Bonnano.

9 MR. SEDDON: Mark Seddon from Florida Hospital,
10 Orlando.

11 MS. DROTAR: Kathleen Drotar, Keiser College

12 MR. GUIDRY: Perigee Technical Services,
13 Environmental.

14 DR. SCHENKMAN: Randy Schenkman, retired
15 radiologist.

16 THE CHAIR: She loves to say that.

17 DR. SCHENKMAN: That's also because if
18 something comes up that's very current and I have
19 nothing to say about it, you know why.

20 MR. RICHARDSON: Tim Richardson, Marion County
21 School of Radiology Technology.

22 MS. GILLEY: Debbie Gilley, Florida Bureau of
23 Radiation Control.

24 DR. JANOWITZ: Warren Janowitz, Baptist
25 Hospital, Miami.

1 MR. FUTCH: James Futch, Florida Department of
2 Health, Radiation Control.

3 MR. PASSETTI: Bill Pasetti with Radiation
4 Control.

5 MS. LIVINGSTON: Janice Livingston, Radiation
6 Control.

7 MS. GRANT: Vicki Grant, MQA.

8 MS. CURRY: Gail Curry, Medical Quality
9 Assurance.

10 DR. WILLIAMS: Tim Williams, Radiation
11 Oncology, Boca Raton.

12 DR. ATHERTON: Bill Atherton, Chiropractor,
13 Miami.

14 MR. FRADY: Terry Frady, Radiation Control.

15 MR. DIELMAN: Ray Dielman, retired.

16 THE CHAIR: Has everyone had a chance to look
17 at the previous minutes? Janice, did you send out
18 an e-mail?

19 MS. LIVINGSTON: Yes, I did send out an e-mail
20 a couple times. I do have a hard copy if anybody
21 wants to verify corrections.

22 THE CHAIR: Comments or questions about it?

23 (No response).

24 THE CHAIR: Motion to approve the minutes.

25 UNIDENTIFIED: So moved.

1 UNIDENTIFIED: Second.

2 THE CHAIR: All in favor, raise hands.

3 (So signified by aye.)

4 THE CHAIR: I guess we move on to Item C,
5 update on 64E-5, Rev 10, Medical Use Amendments

6 MR. PASSETTI: That's me. I just wanted — as
7 you know, in the last several Advisory Council
8 meetings we've been talking about the radioactive
9 materials, medical use of the rules, we've been
10 working on for a number of years, trying to keep
11 compatible with the NRC and update our medical
12 regulations. Just wanted to let you know they
13 became final effective in February of this
14 year, and you should have a copy in your folder.
15 Does this have all of 64E-5 or is this (referring to CD
16 enclosed in member packets)—

17 MR. FUTCH: I think it's got everything.

18 MR. PASSETTI: It's got the whole 64E-5 on
19 here, but it has the new medical regulations. I
20 noticed yesterday all the changes are highlighted,
21 makes it a little easier to see where the changes
22 are.

23 As with any new rule, especially this larger
24 rule, we're going to go through some growing pains,
25 so I know Debbie and a number of others have made
26 some presentations on the new rules and getting a

1 lot of questions. Surprisingly enough, we don't
2 have all the answers yet, but actually Debbie
3 started working yesterday on a list of frequently
4 asked questions on the new medical rule, and James
5 is going to get those on our website.

6 So keep looking at the website, and as those
7 questions come in, we're going to try to get that
8 updated to get some consistent answers to all the
9 questions that are coming up. I'm trying to think
10 of any controversial — really the biggest feedback
11 we have gotten was on the medical
12 physicist, their presence during HDR and Gamma
13 Knife treatments.

14 So that is something that we had tried for a
15 number of years to let people know that it was
16 coming. The NRC put it in place, and we knew we
17 had to adopt it. We tried to ease it in to let
18 people know.

19 We still got a surprise when the rule came
20 out. So I think it's calming down a little bit.
21 Right, Debbie? No, maybe not.

22 MS. GILLEY: We'll know as inspections are
23 being done.

24 MR. PASSETTI: So we're hoping that the
25 licensees realize there are a lot of questions when

1 rules come about like this. We're trying to
2 answer them. We'll be understanding of them, too,
3 as we do our inspections.

4 So I think that's all I have to say. If you
5 have any questions or need any additional copies of
6 these, just let us know.

7 THE CHAIR: Do the doctors need to write a
8 written directive for I-123 —

9 MS. GILLEY: For a diagnostic?

10 THE CHAIR: Yes.

11 MS. GILLEY: — would be in clinical procedures
12 manual now (unintelligible) and any therapeutic
13 application.

14 MR. PASSETTI: We don't have microphones, so if
15 you could identify yourself and speak up, it would
16 help our court reporter.

17 THE CHAIR: Any other comments?

18 MR. SEDDON: Yes. I think most of them were
19 aware of the issues coming on board. I've heard
20 most actually more of after the original
21 (unintelligible) for the HDR and Gamma Knife right
22 now. That is what I'm hearing.

23 THE CHAIR: Has there been any fallout from the
24 state for some of the publicity that radiation
25 therapy overexposure that's been in the papers

1 recently? I'm sure you're aware.

2 MR. PASSETTI: Yeah, I think that's a big issue
3 that's been coming up nationally and in the state,
4 and we are going to try to address some of those
5 issues this morning with some of our
6 presentations.

7 THE CHAIR: Okay. I guess we can move on to
8 MQA radiologic technology update.

9 MS. GRANT: Good morning, glad to be here again
10 today. Got a couple exciting things to tell y'all
11 about.

12 One will be our new online application status
13 check for the Rad techs and the basic x-ray machine operators
14 (BXMO). They will be able to apply
15 online hopefully beginning March of 2011, so we'll
16 begin that process soon.

17 We do currently have online application status
18 check, which allows an applicant to follow their
19 application all the way through the process.
20 That's very exciting for us. And we've had
21 approximately 3,000 hits to that site so far.

22 The only other thing that I need to tell you
23 about would be we are working on allowing our
24 expired licensees to do online renewals, and

1 James and I are working on that
2 together. So that's about it for us.

3 Gail, you have anything?

4 MS. CURRY: No.

5 MR. FUTCH: James Futch, Rad Control. A couple
6 things, in our past few meetings, we showed you a
7 new application for the radiologic technologist and
8 also a rule amendment for Chapter 64-E, which
9 governs the licensure process.

10 As part of that rule process, the applications
11 were adopted. They became effective back in
12 February, and so we now have two applications; one
13 for basic x-ray machine operators and one for
14 everybody else and other types of modalities.

15 The rule amendment as we went through the
16 process came to council twice, made some changes,
17 got your unanimous consent last time, which
18 included a fee increase, you may recall, for
19 several aspects of the Rad tech licensing process,
20 for renewals, initial application, things like that.

21 Those went through. We had no negative
22 comments from any member or organization in the
23 state. Pretty much everything was proceeding
24 according to plan, and unfortunately, as it went
25 through the department for the last sign-off,

1 before we could make it official, apparently some
2 things had changed.

3 And we did not get final sign-off on the part
4 of the package that included the fee increases. A
5 very small part of the rule did go into effect,
6 which governed the four hour (unintelligible)
7 update that all the technologists (unintelligible)
8 as part of the initial licensing process, but the
9 fee part of it did not go into effect.

10 We'll try again, I guess, probably sometime in
11 the fall, and I don't anticipate any problems. I
12 guess I'd appreciate your guidance as to whether
13 you want us to proceed again as you had unanimously
14 told us before with the same exact package that we
15 had last time.

16 DR. SCHENKMAN: Do you know why it didn't
17 pass?

18 MR. FUTCH: Well, to put it delicately, it
19 could be seen as a tax increase. Try again in
20 11 months. That's that.

21 I did get a very
22 polite reception and audience to listen very
23 attentatively to all the technical reasons why this
24 was very much important and very much needed. They
25 completely agreed with all those reasons, and then

1 said, we're just not doing those right now, try
2 again in a year.

3 So we'll be putting that back in again this
4 fall, and we'll probably have the exact same as the
5 October meeting. So we'll —

6 MR. TINEO: Alberto Tineo from Daytona.
7 What was the — I can't recall, the PET CT and the
8 SPEC CT. What is the requirement for the
9 technologist? What can they do and not do?

10 UNIDENTIFIED SPEAKER: It's essentially the
11 same. The statute, it's not specific enough to
12 distinguish between a PET and a SPEC CT.

13 And what the statute says is a nuclear medicine
14 technologist can do the CT portion. It's the only
15 type of x-ray that a nuclear medicine tech can do,
16 but there are several restrictions on it.

17 And also there's a qualifying 16-hours course
18 they have to take in order to be able to do it.

19 MR. TINEO: Oh, but we didn't differentiate
20 between PET CT and SPEC CT.

21 MR. FUTCH: The rule actually says Pet — the
22 statute (unintelligible) so we've been — it's the
23 CT portion of the training is really the majority
24 of the 16-hour course.

25 UNIDENTIFIED SPEAKER: They still can't do any

1 diagnostic?

2 MR. FUTCH: No diagnostic. This comes up many,
3 many times. And we have Ray, a former manager of
4 our inspection office in Tampa, shaking his head,
5 and he's now a consultant, and he's probably
6 answering this question to many of his facilities
7 as well.

8 They can't do the diagnostic portion. They can
9 only use — excuse me, just getting over a cold —
10 they can only use the CT portion for the combined
11 nuclear medicine procedures, and it's only to do
12 the attenuation coefficients and to produce the
13 image of the body to paint the CT image of the
14 body, the nuclear medicine data. So that's it for
15 applications and rule amendments.

16 And, oh, statutory. We should probably mention
17 statutory changes. We've had a couple statutory
18 proposals from the council in past meetings. You
19 may remember the change to the statute to allow us
20 to issue specialty technologist licenses to people,
21 for example, those who have passed the national
22 registries in their post primary categories for
23 which we have no current Florida analog.

24 I think you've seen that one probably for the
25 past three years, and you voted unanimously on the

1 past two or three versions. Long story short, it
2 didn't make it into the recommended statute changes
3 this year as in past years. We will be proposing
4 that again to the best of our ability for the
5 coming legislative cycle.

6 And was there any other x-ray stuff in —

7 MR. PASSETTI: No.

8 MR. FUTCH: I guess that's it for those. Any
9 questions on any of that?

10 MR. RICHARDSON: James, if that should go into
11 the rule, that would allow the nuclear medicine
12 technologist to take a post primary CT exam and
13 then practice general CT in the state of Florida,
14 correct?

15 MR. FUTCH: That's correct. And it wouldn't be
16 in the rule; it has to be a launching of the
17 statute change. We actually had a CT category
18 many, many years ago, and we are prohibited right
19 now by law from issuing a CT-only license by the
20 closure of that old category, basically, the way
21 they closed off any future CT only licenses.

22 So it's actually even worse than not having any
23 licensure categories. In the one case of CT, not
24 MR and all the, you know, the ultrasound and the
25 rest of it, but in one case of CT, it's not only do

1 we not have a licensure category, we actually have
2 a law that says we can't issue one, so.

3 MR. RICHARDSON: Why I brought that up is
4 because there are a number of community colleges
5 that have opened up post primary CT courses, and
6 the people who are registering for these are
7 nuclear medicine technologists. And I think their
8 thinking is that once they pass that course and
9 once they pass the CT advance registry, that
10 they're going be able to do general CT in the state
11 of Florida.

12 Is there any kind of information sheet that we
13 could send out that would notify them that that's
14 not the case —

15 MR. FUTCH: Sure.

16 MR. RICHARDSON: — until that law changes?

17 MR. PASSETTI: I think it would be really
18 critical to communicate with those groups because
19 the only way this law is going to pass, in my
20 opinion, is if somebody like the community colleges
21 are the ones that bring it to the legislators
22 saying it's needed.

23 We're not having any luck getting it through
24 the department as a priority, so if we can find
25 groups like this that are promoting it, they're the

1 ones that really need to be talking about a
2 launching.

3 MR. FUTCH: Especially, you know, when we have
4 this change in the statute to allow the specialty
5 technologist. We talk — you know, I talk to some
6 of the lobbyists, and they're not hearing anything
7 from their constituent organization about this.

8 And so in this grand bad economic time as well
9 as all of the other normal things that the
10 lobbyists are trying to protect their professions
11 from happening, they tend not to want to spend any
12 political capital on this particular issue because
13 no one's telling them this is a problem, except for
14 me and Bill. And that's got to change if this is
15 ever going to happen.

16 MR. RICHARDSON: There's not a shortage of CT
17 techs right now.

18 THE CHAIR: Quick comment. I've heard there's
19 a reasonably good chance that the Care Bill is
20 going to be passed by Congress this year.

21 MR. FUTCH: Yes.

22 THE CHAIR: I doubt if there's any effect in
23 Florida, but have you looked at it to check on
24 that?

25 MR. FUTCH: Not the most recent version. The

1 previous versions may force us to do something with
2 the basic machine operators. It depends on how the
3 final wording, you know, of course, once the law
4 passes, the secretary just has to write the
5 regulations, and I'm sure there will be plenty of
6 lobbying at that point.

7 But, you know, Florida is one of the few states
8 that has a limited scope radiographer that has no
9 minimum educational requirement for prerequisite
10 training before they sit for the state exam. They
11 have to do a self-review of the state study guide.

12 So if the Care Bill were to pass and would
13 require some sort of minimum educational
14 requirement, then that would be one impact that we
15 would have. But again, it's a minimum standards
16 bill. At least that's the way it's been
17 characterized in the past.

18 MR. TINEO: For the PET CT and SPEC CT, once
19 they finish the education requirement that we
20 passed here, the 16-hour course, do you
21 submit that to the state or do you keep it in the
22 institution for inspection purposes?

23 MR. FUTCH: No, it does not get submitted to
24 Vicki's office. It stays with the technologist in
25 the facility.

1 Now, the inspector can request it when he's in
2 the field doing an inspection, but it doesn't come
3 back to us.

4 THE CHAIR: Any other comments?

5 (No response).

6 THE CHAIR: I guess we're up to the
7 presentations and medical regulation issues.

8 (Discussion about lunch plans off record).

9 THE CHAIR: Dr. Williams.

10 (Presentation was given by Dr. Williams).

11 (Paul Burrese entered the conference room at
12 this time.)

13 MR. WILLIAMS: With that, I'll be happy to take
14 any questions.

15 THE CHAIR: Wasn't St. Vincent's closed this
16 week?

17 DR. WILLIAMS: Yeah, they were. And they were
18 seven hundred million dollars in debt, and nobody
19 wanted to buy them. The whole hospital closed.

20 There's already been one patient die because
21 they had a heart attack and went to St. Vincent's,
22 and they just couldn't get them across town in time
23 to the trauma center. So they're the only hospital
24 that serves really the village and the lower left
25 side of Manhattan. That's all there is.

1 Nobody's got that kind of money, you know, to
2 take over hospitals. They have been hemorrhaging
3 money for many years. But the oncology program
4 closed long before the hospital did.

5 (Presentation given by Ms. Gilley.)

6 (Presentation given by Don Steiner.)

7 THE CHAIR: It's time for lunch, so what time
8 are we going to reconvene? Let's reconvene at
9 1:00.

10 (Lunch recess.)

11 MR. PASSETTI: I'll start. I just really
12 appreciate Dr. Williams and Mark and Debbie and Don
13 for giving their presentations this morning. It's
14 a real important issue.

15 What I'd like to do is have some discussion on
16 the whole gamut, the therapy and the CT issues. I
17 know there were several suggestions on things we
18 can do or should do.

19 One, my concern is I think something is going
20 to happen at the federal level, and we don't know
21 what it is, so we are trying to figure out as a
22 state what, if anything, we should do right now or
23 what can we do right now and maybe just some
24 education, maybe regulations, I don't know. I'd
25 like to have discussions that we as a state need to

1 think about doing or if we need to wait
2 (unintelligible) what the federal government is
3 doing. Or how we should approach it.

4 I'd appreciate getting ideas on any of the
5 others or even the fluoro issue, because I think
6 that's probably going to get to the federal
7 level, when they start looking at radiation, they
8 will start looking at everything. I'd like to have
9 discussions or ideas on what you guys think we may or
10 should not do.

11 DR. SCHENKMAN: Can I ask what happened to the
12 letter we talked about that —

13 MR. PASSETTI: Actually I can talk about that
14 now, and we wouldn't have to worry about Tab L.
15 Oh, the letter is actually in Tab H, the final
16 version of the letter is under Tab H.

17 We finally — we are having some difficulty
18 getting it to the Surgeon General. They put up
19 some road blocks and everything. She's got so much
20 going on. One day my (unintelligible) was meeting
21 (unintelligible) hearings letter we've been working
22 on, you put it in her hands, and she'll really be
23 interested.

24 I think most of you know she's got a background
25 in radiology. We've been talking to her quite a

1 bit about the medical radiation issues and the CT
2 issues, and she's really interested in it, and I'm
3 suspecting in the next week or two, she'll sign
4 this letter.

5 And she's also interested in — whatever else
6 we do in the medical radiation field, she wants to
7 stay involved with it. So she's really supportive
8 of it. So that's kind of where we are with that.

9 MR. DIELMAN: For the record, I'm Ray Dielman.
10 My hair loss is not due to CT. I do have a couple
11 points of view, if I may, briefly.

12 And the first is, I am the CRCPD liaison to the
13 joint commission, and they are visiting many of
14 these same issues, as you know, and then two, as
15 sort of a semi-retired — well, not sort of, I am a
16 semi-retired health physicist and a radiation
17 safety officer at a couple of facilities in the
18 area.

19 You know, what, kind of speaking globally from
20 all of it, my suggestion would be that we do
21 something proactive and do it now. There's —
22 because what I'm hearing from various sources, and
23 I think Mark can speak to this too because he has
24 some of the same sources that I do, maybe more, the
25 federal government is going to do something.

1 And the problem is they're not sure what it is
2 they're going to do, and that's scary. But one of
3 the words that I hear, the sentence that I hear
4 from time to time is that they're willing to —
5 they're going to go in and put something in place
6 particularly for those areas that don't already
7 have it in place, and that's why I'm suggesting
8 proactivity.

9 A couple things come to mind. We talked at
10 this meeting before about them, on the fluoroscopy
11 side, because that's something I've been dealing
12 with the joint commission for a long time. The
13 joint commission did adopt the sentinel event
14 criteria as you know. Don alluded to it this
15 morning.

16 That's the first step, but there's — it's one
17 thing to have a sentinel event criteria. That's a
18 pretty high criteria, by the way. A lot of people
19 think it's too high. A lot of people think it's
20 not enough.

21 But then there are a lot of caveats getting
22 there. Sometimes the case takes a long time.
23 Sometimes the case is done by persons who perhaps
24 could have a greater skill level, so those things
25 have to be weighed in.

1 So the bottom line of that on the fluoroscopy
2 side, what the joint commission is trying to
3 enhance — they already have it in place, just
4 trying to enhance it — is that there should be a
5 requirement at every health care institution that
6 uses fluoroscopy, that there be some credentialing
7 and privileging criteria.

8 I'll give you an example of that. If you came
9 out of Baylor University, for example, in the last
10 15 years, or actually 20 years now, I think, you
11 would have come out with a certificate that says, I
12 have — in my residency program, I received
13 specialized training in radiation safety and
14 equipment operation, fluoroscopy equipment
15 operation, and I'm qualified from that perspective
16 to do it. All right.

17 Well, you take that same criteria at one of the
18 hospitals that I'm working with, they have created
19 their own criteria along that regard. Rather
20 than — there are some, by the way, some programs
21 out there that you can adopt. Everybody doesn't
22 have to recreate the wheel and create their own
23 program.

24 There's some programs already out there.
25 Again, Wagner and Baylor has such a program, and

1 it's a good one. And it's actually fairly
2 inexpensive, I think. They downsized. For most
3 physicians it's six CMEs.

4 And getting physicians to spend six hours to
5 get six hours credit is rather difficult, at least
6 in the acute general hospital environment where
7 we're dealing with cardiovascular surgeons and pain
8 management folks. So there's an opportunity there
9 for, I think, the state as part of the CRCPD or
10 as — I mean, you know, the states are the CRCPD,
11 as it were.

12 But for a letter again, Bill, maybe from you or
13 maybe from Don, however you choose to do it to get
14 out to every registrant that does fluoroscopy and
15 say, this is what we'd like to see. Everybody
16 knows what the regulatory aspect is, but when you
17 say it, it's helpful. That information there that
18 you send out — what is it 32? What is it, Don?
19 Whatever it was — very helpful.

20 I had to explain that to some people though. I
21 have to tell you. I would suggest you might break
22 that down to like the fifth grade. I don't mean
23 that facetiously. It's just that people — and
24 particularly, when it has to do with SI units and
25 so forth, people just don't get it. Or maybe some

1 little chart that helps in that. That's one step.

2 Second step, in therapy, I know we haven't
3 talked a great deal about therapy in that regard,
4 but years ago, Don and — well, there was a group,
5 all right, actually led by that young lady over
6 there on the right-hand corner, who created, worked
7 on creating — being the coordinator, as it were,
8 Don was very much involved in it and others at the
9 time, creating essentially a quality control
10 process for, I think the goal was inspections at
11 that time and so forth, but a whole quality control
12 criteria.

13 And we might want to resurrect that. That's as
14 good today — it's probably better today than it
15 was then in a sense people can see the need for
16 it. But there's a whole list of steps to take in
17 order to have a good quality safety program in
18 every radiation therapy operation.

19 And it was not too prescriptive, I think, but
20 the point is it raised a little bit of the same
21 thing the CT document did. It raised, you know,
22 elevated the subject, so there's an opportunity
23 there.

24 And on the CT side, I think Mark said that — I
25 heard two variations of that in the last two weeks

1 because we all go to the same meetings all the
2 time. But both times it's been excellent. And I
3 think the clinical aspects of those or clinical
4 summaries that Mark had — perhaps you would repeat
5 them again — are very helpful, if we can get to
6 that point, you know.

7 And then the final issue I think on a global is
8 appropriateness. That's very difficult. But
9 appropriateness criteria. You won't be able to
10 solve it, but, you know, we can move forward.

11 So I think I've covered the four modalities in
12 that. Thank you.

13 MR. SEDDON: Mark Seddon. Actually, there is a
14 FDA white paper that came out in spring, minimize
15 radiation exposures. Actually sort of my
16 recommendations on operator clinical outcomes for
17 suggestions, kind of mirrors what they say from the
18 FDA as far as they (unintelligible) in three
19 areas.

20 One is giving patients their dose information
21 and another two are on both appropriateness
22 criteria and property (unintelligible.) I mean,
23 that's something that actually is out there
24 currently from FDA.

25 THE CHAIR: Let me just make a few comments,

1 because certainly this committee, I think, could
2 deal with appropriateness of optimized — the
3 operators are trained to properly do the studies
4 and record the doses. And that's, you know, the
5 minimum that we should be able to do.

6 But the other issues are obviously harder and
7 kind of beyond the scope of this committee, but I
8 think they need to be addressed. And some of the
9 things, at least from the physician viewpoint,
10 obviously appropriateness criteria are important.
11 However, they're pretty easy to get around in terms
12 of ***watering cases, *** watering studies.

13 You have to have to know the right diagnosis to
14 put in, and it's going to come out okay. Changing
15 physician behavior is going to be tough. A large
16 part of the problem, I think which is a much bigger
17 issue than we can address is number one. Over
18 utilization due to malpractice issues.

19 If you don't have tort reform, people are still
20 going to order a lot of unnecessary tests. In
21 addition to that, you've got the issue of
22 self-referral, which is another probable cause of
23 over utilization.

24 Again, it's something that's going to be real
25 tough for this committee to address, but unless the

1 legislature is willing to look at it, I think
2 that's going to be really difficult. I think we
3 can take a role in educating physicians concerning
4 over — concerning radiation exposure from
5 different diagnostic tests.

6 I think I suggested last time that we maybe
7 approach the Board of Medicine about having some
8 sort of radiation CME requirement similar to the
9 domestic violence and the (unintelligible) safety,
10 and some of the other requirements required for
11 licensure.

12 I think if we can get every doctor in the state
13 to have one or two hours of a required course on,
14 you know, radiation exposure for medical imaging, I
15 think that might help. Certainly, I'd say 90
16 percent of doctors have no idea what that is. It's
17 not a topic that's covered in medical school, and
18 it's probably something that I think the Board of
19 Medicine might embrace.

20 I think they would have to be the ones to look
21 at it because they require — or they're the ones
22 that set the standards for CME for physicians. I
23 think that would be a worthwhile thing for
24 physicians to know, what are the dangers of
25 radiation, how are we looking at risks, what is

1 exposure from a CT scan and so forth.

2 And I think that's something we could encourage
3 as a committee. But, you know, from the big
4 picture, patient dose cards, you know, it's
5 probably something that the patients like. It's
6 kind of empowering to the patients, but it
7 doesn't — I'm not sure what that really
8 accomplishes.

9 Is the patient going to say, well, I reached my
10 limit for the year, I'm not going to take this
11 brain CT that my doctor wants to get because
12 radiation is too high. I think you'll be scaring
13 patients.

14 No one really knows what are too high, or what
15 is too high. You know, how do you determine, you
16 know, are you going to stop doing tests on a
17 patient because his card has reached a limit. I
18 don't think that's going to happen, and you're
19 going to scare patients.

20 So it's a really complex issue. I think the
21 goal should be an overall decrease in unnecessary
22 testing and certainly optimize imaging protocols,
23 all sorts of imaging procedures. And then, you
24 know, other issues such as the self-referral and
25 the tort reform probably would have a major

1 effect.

2 But again, that's something that's going to be
3 real tough for us to have any effect on. My two
4 cents.

5 DR. WILLIAMS: I can tell you that, you know,
6 this committee is not going to solve all these
7 problems this afternoon. I know you guys are
8 looking towards that as a goal. It's going to take
9 years to get any type of meaningful change in this
10 situation.

11 What I can tell you, what Astro knows about the
12 national situation is that the health, or they just
13 call it health — it's the subcommittee on health,
14 but Frank Malone's health committee will draft some
15 legislation this summer. They haven't started
16 yet. Nobody knows what it's going to involve.

17 There's been some solicitation, you know, in
18 the immediate time after the hearings. Some
19 questions went out actually from Energy and
20 Commerce. It came out under Dr. ***spg*** Waxman's
21 name. I was asked six questions to apply to, and
22 other people got other questions as well.

23 And that will form, I guess, some initial, you
24 know, information, from which they will
25 write some legislation. Most likely, the specialty

1 that will take the biggest regulatory hit is
2 diagnostic radiology and the CT scanning in
3 particular.

4 At the hearings there was a presentation made
5 from a lady, I believe she was at Mayo, on
6 radiation biology and the idea of, you know, how
7 radiation affects living tissues. At these
8 hearings, you got six minutes to make a
9 presentation, and the clock is ticking the whole
10 time; six minutes. So that's it.

11 It's not about you. It's about the legislators
12 and the congressmen having a chance to get stuff
13 out into the public domain.

14 This lady made a presentation that was as
15 complicated as Chinese algebra. It didn't make
16 sense to me, you know, a lot of it, and I've been,
17 you know, studying this stuff for 20 years.

18 There's no stomach in the subcommittee to deal
19 with radiation therapy, cancer therapy beyond
20 making sure that they do everything appropriate, so
21 they're reaching out — they have reached out to
22 Astro. They're interested in our six point action
23 plan.

24 Without speculating on the work product of a
25 government subcommittee, it's likely that we'll end

1 up with Astro-type stuff. A CT scan though is
2 another matter. It is of larger social
3 significance, number one. You're talking about
4 millions of CT scans being done potentially
5 unnecessarily.

6 And there's a fair amount of rank, you know,
7 from some of the congressmen on this. They don't
8 like the idea of their constituents being radiated
9 with unknown doses, with no, you know, registration
10 of them or anything.

11 So it's pretty likely you're going to see
12 mandatory reporting of doses to the patient of each
13 scan and lifetime, you know, registration the
14 cumulative dose. And then they'll say, that's
15 between you and your doctor if you want to order
16 the test or not.

17 But you need to know the patients have a right
18 to know their lifetime exposure to radiological
19 therapies. That's a probability; although like I
20 say, at this point, everything is purely
21 speculative.

22 I don't think there is any doubt that the
23 ACR will have a major hand in CTMR accreditation.
24 They're already, you know, all over that, and there
25 will be new efforts, probably regulation efforts to

1 control the, you know, differences in dose
2 exposures. There will be probably some more
3 training required, things like that.

4 But it's probably going to — the bill itself
5 will probably be 80 percent diagnostic and 20
6 percent radiation therapy, just as a guess, you
7 know, I would say. So I think that you have to
8 sort of be prepared for that.

9 Florida is already a pretty good state, you
10 know. It's not that we don't have to be concerned
11 with it or anything, but we're not Wyoming or
12 Nevada where there's nothing out there from the
13 patient's standpoint.

14 So those are the states that, you know, not
15 that they have an advisory committee, but if they
16 did, they would be sitting around thinking, you
17 know, oh, my God, what are we going to do. Well,
18 they're going to end up looking a lot like Florida
19 by the time it's all over actually.

20 And so Florida is already probably one of the
21 top five states in the country for this situation.
22 So I think it's likely that many of these other
23 states will look to Florida as a model. It doesn't
24 let us off the hook or anything.

25 But as far as what the committee should do now,

1 I think number one, Debbie's already on this. It's
2 typical. We should — you know, there's going to
3 be a representation at the upcoming AAPM Astro ACR
4 Quality and Safety Meeting in Miami June 24th and
5 25th.

6 That's the first coordinated effort between the
7 specialty societies to develop a cogent and
8 responsible response to the patient's safety
9 question. It's already almost completely met its
10 registration maximum, so we'd probably have to have
11 another one at some point.

12 But we should go to that meeting — we, in the
13 Debbie Gilley sense. I'm actually a co-host for
14 that meeting.

15 MS. GILLEY: You're going to be there, too.

16 DR. WILLIAMS: Yeah, I'll be there too. I have
17 some presentations there.

18 And there should be a report back to this
19 committee from that meeting because that would be
20 sort of, I believe, an initial distillation of
21 where we stand. I think this committee should
22 endorse the Astro six point patient safety quality
23 action plan.

24 And I think that gives a little bit more of
25 legitimacy, saying that the advisory board supports

1 it. You know, my humble opinion, it's a good work
2 product. It's appropriate to the situation.

3 So that would be something that we could do,
4 you know, as a committee. And I've always
5 wondered — I think I brought this up before — we
6 had kicked around the idea previously of inviting a
7 representative from the American College of
8 Cardiology committee because they're the ones that
9 are out there roasting these chest walls with the
10 fluoroscopes and everything, and so, you know,
11 maybe we should make a place for them at this table
12 so that we can ask, what's your society doing
13 about all this stuff.

14 Because they clearly have a role to play in
15 radiation safety, I mean, and all the nuclear
16 cardiology that they do and questions of over
17 utilization come immediately to mind, you know, in
18 this type of thing. And so I think it would be
19 appropriate to solidify, you know, a position for
20 the ACC on the advisory board.

21 And then, you know, beyond that I think all we
22 can do right now is just monitor the federal
23 regulation and see what comes out in the summer and
24 have a report on that, if it comes out in time, at
25 the fall meeting and just recognize that it's going

1 to be part of the agenda item for the foreseeable
2 future, I think.

3 There's going to be an ongoing evolution of
4 these, you know, projects and programs, and, you
5 know, regulations for probably a year or two. And
6 so just to give you an example of some of the
7 logistical realities. There was an initial push
8 when the matter came out, well, we just need to
9 accredit everybody. If everybody met a certain
10 level of standards, we would be perfectly fine.

11 Okay, well, that's a good idea. There's 2500
12 radiation oncology centers in the country, you
13 know, and there's about 40 accreditors right now in
14 Astro ACR. And they currently have a fifty-center
15 back log.

16 So if each accreditor can do two centers a
17 year, these are lowly compensated volunteers,
18 radiation oncologists. If they did two a year, it
19 would take 15 years, you know, to accredit all the
20 programs.

21 So there's no administrative bureaucracy in
22 place to even start to take on the number of
23 programs accreditations that would be required to
24 reach this nirvana level of, you know, of quality.
25 And, you know, so there are a lot of issues from

1 where we are to where we need to be.

2 But I think another thing we can do here, my
3 last comment is that we already have volunteer
4 reporting in this state, or I guess mandatory
5 reporting, anonymous. I think we need to revisit
6 that again and see.

7 I know the physicists and RSOs know and
8 everything, but that could really be a model for
9 the rest of the country. New York has one as
10 well.

11 But I think it would be reasonable for this
12 committee to review the processes and procedures of
13 our mandatory reporting program to see if there are
14 any suggestions or upgrades we could recommend for
15 it and see if we could, you know, provide more of a
16 clearing house for the centers, because ideally,
17 the anonymous reporting is for the value of the
18 centers not making the mistakes so they can look at
19 them and say, well, am I doing that, did something
20 like that happen in our center.

21 And it's supposed to have value beyond just,
22 you know, making sure the state knows when someone
23 makes an error so they can get a slap-on-the-wrist
24 fine. I'd would be interested actually and willing
25 to participate in some type review of that and

1 report back to the committee, the advisory board.

2 DR. SCHENKMAN: Are we on this committee
3 allowed to address equipment issues? I mean, if
4 there are ranges of standards for each type of CT
5 scan, for each generation of scanners, would there
6 be, especially since facilities can't be
7 accredited, and I'm sure diagnostic facilities are
8 probably just as far behind, can we request or do
9 something about the equipment so that when a scan
10 is being done that's outside of the range of
11 whatever body part that is, a light flashes,
12 something makes sound, so that it brings the
13 attention of the technologist, you know, to show
14 them that there's something not right, that they
15 need to address that.

16 It doesn't necessarily mean it's going to turn
17 the scanner off, because we all know that, you
18 know, I mean, if you have a 600-pound patient,
19 you're going to have to use a little more dose than
20 if you have a 60-pound patient. But I think that
21 maybe making the technologist more alert to when
22 there's a problem might take care of some of this
23 until you can get all of the things in place that
24 you're talking about. Because those are going to
25 take years. And we're talking about a more

1 immediate problem now.

2 MR. PASSETTI: Actually the equipment stuff —
3 Don, tell me if I'm wrong — but that's really
4 under the purview of the FDA. They regulate the
5 manufacturers of the equipment.

6 They can tell them what they have to have on
7 their machines as far as displaying dose or
8 whistles or warning bells and all those things is
9 under the purview of the FDA. We would not be
10 allowed to, as they say, be more restrictive than what
11 they put in place.

12 MR. SEDDON: Actually, there's a working group
13 right now with the vendors and (unintelligible)
14 standardize the terminology between the different
15 vendors and also standardize the interface, user
16 interface, so that everything means the same.

17 So an example at the seminar last week where
18 they show like a speedometer on the right of the
19 display for the technologist to see where they fall
20 for the range of doses for a particular exam they
21 have set up. The problem is that the more — the
22 heavier the patient is, like me versus you, there's
23 going to be a huge difference in the dose of the
24 patient SSTI display, because you have to give more
25 dose for more continuation. So it's not a

1 simple — it's an interpretation part as well.

2 Right now the numbers are actually displayed on
3 the console so they do see what type of dose is
4 going to be given to the patient. There's a
5 comprehension of what that means is part of the
6 issue, which is why the information under 132 is
7 very proactive and very positive because it forces
8 all the facilities out there to re-educate what it
9 means.

10 You know, surprisingly, most of them don't
11 know. A lot of them when I talked to facilities,
12 they weren't even aware that they did display
13 lists, and they were not aware of what it meant.

14 And again, it goes back to the old issue of,
15 you know, there is no CT registration here in
16 Florida so a lot of folks have just on-the-job
17 training.

18 There's x-ray techs who jumped into CT down the
19 road in some regards. So that part, the technology
20 education is the key. Key to point.

21 THE CHAIR: You know, one thing I think this
22 committee could do or the department could do, you
23 know, we recently, I guess in response to your
24 letter 32 advisory, we reviewed all our protocols
25 for CT to make sure that they were more or less

1 optimized.

2 When you do inspections, do you look at the
3 protocols? Do you look to see if they have
4 different protocols for different size patients?
5 Do you maybe pull cases to see if the 100-pound
6 patients are getting the same machine settings as
7 the 300-pound patients? Is that done at all?

8 MS. GILLEY: No.

9 THE CHAIR: I think we could develop some sort
10 of criteria that at least for CT protocols there is
11 specific protocol set up for different size
12 patients and that they're optimized and that you do
13 occasionally check to just pull a CT and look at
14 the machine settings and see if it was appropriate
15 for a 100-pound patient versus the 250-pound
16 patient. And that could make a significant
17 difference because we didn't really have that in
18 place ourselves for a lot of this.

19 And we have since changed it so that we do
20 lower the KVP if it's a small patient, and we use
21 less MA, and each protocol gets set up based upon
22 the patient's weight. That's something a good
23 technologist should be doing.

24 MR. FUTCH: Is there a requirement that that
25 information be recorded so it could be checked —

1 MR. STEINER: It's not required under our
2 rules, but it is recorded on the films, and so it
3 is possible to make that type of check while you
4 were in the facility. I would say two things. One
5 is — I just say it bluntly, because I'm terrible
6 at sugar coating things.

7 The dose from CT exams is a product of what the
8 manufacturers make at installation. They install
9 the machines, and they say, use 200 MA station at
10 120 KVP and adjust the density and contrast to suit
11 the viewer, you know, or reader of the film.

12 And so when we did studies back in, you know,
13 back in the eighties for what is the typical dose
14 for a CT head exam and abdomen exam, it was
15 whatever GE said it was because they had the market
16 share, everybody used 200 MA. But at some
17 facilities, some operator, I'll call them
18 unknowledgeable operator, pushed the four hundred
19 or 600 MA because they were on the panel, they went
20 from 200 to 800, pushed one of those buttons, and
21 guess what, the picture got a little bit nicer.

22 And then they pat themselves on the back and
23 went to the radiologist and says, look how much
24 nicer this film looks.

25 And the radiologist says, cool, what did you

1 do.

2 I pushed the 600 MA button.

3 Well, they did that for a couple of months, and
4 the tube burnt out.

5 But since then the tubes are getting much
6 better, and they can push the 600 MA button and run
7 that thing for six, seven years until they get
8 tired of it, or something newer comes down the line.

9 I'm trying to say it's self-fulfilling. They
10 don't make a lot of adjustments. I would bet a
11 significant amount, at least one paycheck, that
12 when you start looking at these techniques at
13 facilities, it's whatever GE put in there as a
14 set-up at the facility.

15 Where they ran into problems in LA County and
16 stuff is somebody monkeyed around with the
17 technique factors, and the manufacturer won't admit
18 it, and the facility won't admit it. And so my
19 guess is, it was a service tech or something said,
20 hey, you want your pictures to look nicer, push
21 this button, and they told their friends, and a
22 small circle of those people had those problems.

23 MR. PASSETTI: It comes down to education?

24 MR. STEINER: It's an education thing.

25 MR. PASSETTI: But you have to look first to

1 see what they're doing.

2 MR. STEINER. You have to look to catch them,
3 you know what I mean.

4 MR. TINEO: It's also important to recognize
5 hospitals that (unintelligible) commissions there a
6 lot of time creates a lot of protocols that you
7 have to follow. The part that we — that I run
8 into a lot is the cardiology section and the
9 non-radiology sections that a lot of people tend
10 to — outpatient centers under Hospice of hospitals
11 that are running on their own, which is not —
12 there's no oversight other than just the physician
13 that is reading the images, and the
14 technologist is the one that have to do it.

15 But it's so busy because they have 20 patients
16 lined up and they need to do the patient as fast as
17 they can. And that's where we run into — what I
18 see where we run into the problems a lot.

19 It's just there's no cohesiveness of all the
20 areas in looking at radiation safety. I mean, I
21 see it all, multiple times. I went to a cardiologist a
22 few months ago because their readings of their
23 radiation badges were astronomical.

24 Of course, you know, the first thing that they
25 do is they don't wear the badges. I mean, that

1 stops, that stops the — that solves the problems.

2 So the problem is — again, it's a radiology
3 person going up to cardiologist and tell him your
4 radiation exposure is high. And I think they're
5 not in tune with what radiation safety is about in
6 a lot of these places.

7 And we should do, as a committee, more of
8 enforcement and oversight, they have an oversight.
9 I don't know, is there a regulation that those —
10 that they need to be overseen by a physicist on a
11 regular basis?

12 I mean, that should be part of it, that all
13 these facilities, even they can inspect it, but
14 there should be also a oversight by a physicist
15 that comes in, looks at those departments and looks
16 at the protocols.

17 Because right now, you know, the first thing I
18 did when I received the letter, I took all the
19 protocols and I sent it to all — to the physicist
20 and just oversee, look at — another eye looking at
21 it, from the physician perspective, from the
22 physicist perspective and from the technologist
23 perspective.

24 How many of the outpatient centers did that?
25 How many people — so I think we need to make sure

1 that these stand alones in places are as radiation
2 conscious as we are in the hospital setting. And
3 also it was an eye opener to see that sometimes you
4 ask the technologist, well, why did you do that.
5 Well, the radiologist told me to or the physician
6 told me to.

7 They need to understand, and we should do
8 education on this, that they're part of the team.
9 If they think something is wrong, they should not
10 be pushing that button. I mean, if they feel like
11 that's enough, that's too much radiation, they have
12 as much right.

13 Just because a physician is parking in the ER,
14 that they should not do something that is wrong.
15 And I think sometimes we lose that aspect of it.
16 It's just we are there just to do procedures, and
17 we are not really looking at the safety of the
18 patient. And that's where we lose some of it.

19 THE CHAIR: I don't know if you have any sort
20 of enforcement capability, but even if you just let
21 it be known that you're going to be looking at
22 protocols and radiation exposures, that might have
23 an effect.

24 MR. DIELMAN: That's the proactive aspect of
25 it.

1 DR. SCHENKMAN: What about also, I mean, aside
2 from CME credits, which are directed toward
3 physicians, what about directing radiation safety
4 towards technologists in their accreditation, you
5 know, their updating, their education? Because
6 maybe that's a big part of it too.

7 You know, a lot of this technology is new since
8 they're out of school or changing, and so people
9 don't keep up with it.

10 MR. FUTCH: What are you teaching in school?

11 MS. DROTAR: Absolutely. Do they remember?
12 And I think what we had talked about before was
13 that people that are directors or managers of
14 departments haven't been through that, I don't
15 understand the differences, so it's maybe not just
16 the new technologist?

17 But one of the things that I've seen because
18 now we have gone away from film screen, and we're
19 into CR and DR, and we are talking lesser doses.
20 But when you have training on new equipment, the
21 training for the technologist is usually how to
22 operate the equipment. It's not how the protocols
23 are established in there or when you're looking
24 at — and each manufacturer has those different
25 proprietary names for sensitivity or whatever the

1 range is, so that you know what your level of
2 exposure is.

3 And each of those is different, but the
4 technologist couldn't really explain that because
5 it was something that was already set. And so if
6 you have people that as we go from film screen to
7 digital, that we're going and then into CT, which
8 are the same components there, that people don't
9 really understand what's happening with contrast
10 and density as it applies to new technology. And
11 that comes back to education again.

12 And maybe there might be a way to put into the
13 requirements that, you know, that maybe there's one
14 or two credits that you have to have for radiation
15 safety or a couple of credits, if you're doing CT,
16 that you need to have education and show continuing
17 education in CT or MR because those CMEs are
18 available.

19 And, you know, because we've got the 12 that we
20 need and we always worry about professional
21 development and maybe if there was — it was more
22 technology based, which is what the CE has been for
23 us that you would have, you know, if you're doing
24 radiography, if you're doing CT, you know, if
25 you're doing mammography is a whole separate

1 venue.

2 But the other thing is, too, that we have in
3 place that the — that people who get the general
4 radiographer license meet the requirements of the
5 ARRT, and ARRT has been designated that people who
6 are nuclear medicine trained or radiation therapy
7 trained can then do the most primary exam for CT,
8 and maybe that might be a mechanism that we could
9 look into, that if you're dealing with
10 (unintelligible) having met those requirements, you
11 know, since we don't have the licensure in place,
12 but if there's something in the rules that could be
13 adjusted to that, so that that way we're looking at
14 people having really been trained.

15 Because everything — and a lot of what's
16 happening with CT right now — Tim mentioned that
17 community colleges are implementing CT programs,
18 but they're not really there and available, and a
19 lot of it is on-the-job training. So, you know,
20 where are they going to get the education, too?

21 But maybe if that component was in there for
22 the ARRT and that they have met those criteria
23 because then they have to do X number of exams in
24 order to qualify for that, that it might be a
25 limiting way to do that. And the next couple of

1 years, general radiographers or the radiographers
2 as you apply for the ARRT, there's maybe a
3 component in there for doing elective competencies
4 on — with CT.

5 Right now they're looking at doing elective so
6 that your — I think what we've seen is CT becoming
7 part of that and that we need to be maybe a little
8 proactive in looking at what we can fit in under
9 those regulations.

10 MR. SEDDON: Actually, I have a question. So
11 is it possible to have implements under the general
12 radiation program (unintelligible) generic, and
13 there's nothing specific for CT.

14 MR. FUTCH: Well, statute-wise, there's also
15 been a operation in the machine part of the statute
16 that says — in Don's statutes in Chapter 404,
17 there's a very brief phrase and very long sentence
18 that says we can require things with regard to
19 operator — I forget the noun that's used.

20 MR STEINER. Competency.

21 MR. FUTCH: Yeah, something like that. It's
22 not much in the way of statutory authority, but one
23 could, one could, with the appropriate backing from
24 the department, try it and see if it gets all the
25 way through without being challenged.

1 I don't know what Don's feeling is on that.

2 MR. STEINER: We can do a lot with our
3 radiation protection program because it just says
4 the facilities will have one, and the department is
5 responsible for making sure they use the equipment
6 safely. So while it's tough to mandate without a
7 rule that you'll do XYZ, you can send their
8 radiation protection program back to them and say,
9 I don't see where you address XYZ. So I can't tell
10 you what to do, but you have to do something to
11 address this issue. So, you know, we do have that
12 opportunity.

13 MR. SEDDON: Because you have the model
14 programs for like x-ray, mammography —

15 MR. STEINER: Have some — general. Because
16 the rule required radiation protection program for
17 offices and a lot of, not really radiation hazard
18 like baggage industrial, chest x-ray, whatever.
19 You know what I mean? There just wasn't a lot of
20 dose.

21 MR. SEDDON: Maybe you can incorporate the
22 information on notice 32 as a model RPP for a CT
23 and maybe take some other suggestions here as well
24 into that and look — if nothing else, it's a model
25 people can follow.

1 MR. GUIDRY: I don't think you should
2 underestimate how much mileage you can get out of
3 that kind of thing. If your purpose — you have no
4 regulatory mandate, but if your purpose is to try
5 to start to get people thinking about this,
6 addressing an issue that here today has been pretty
7 much ignored, I think you should seriously look
8 into that, because I think you have a lot of
9 capability to get people addressing the issue by
10 that method.

11 MR. STEINER: There is a reluctance to publish
12 an information notice that makes policy for the
13 department where you don't really have a rule to
14 back it up, so that's why I was saying, while we do
15 have a rule, it requires the radiation protection
16 program. And it's relatively broad.

17 I look at it as akin to the materials section
18 where they say, we can do anything we want. You
19 know, the clause that says, you know, you need to
20 do what's right. And so, I mean, there is some
21 opportunity there. I don't disagree.

22 But in direct answer to the question about
23 information notices, we have been — I'll not sugar
24 coat it. We've been reluctant to publish
25 significant numbers of information notices because

1 it's viewed by the public as regulatory, and we
2 don't actually have a rule that requires, you know,
3 a lot of — we do not have a rule that says — there
4 are maximum doses for any type of examination.

5 You know, maybe we should, maybe we shouldn't.
6 There's a lot of states that do not. It's not a
7 part of the suggested state regulations. Some of
8 the states have had problems because as the imaging
9 dose came down with improved receptors, they were
10 reluctant to jump to that imaging dose.

11 There was a dose on the imaging quality. The
12 doses came down, they were reluctant to go there,
13 because they were outside their min-max bounds.
14 That sounds stupid, but they were really — they
15 had some other issues.

16 So we'd be happy to look at recommendations
17 from this committee related to, you know, radiation
18 protection.

19 MR. DIELMAN: I want to raise the RPP issue. I
20 think perhaps that is an appropriate way to go with
21 questions on radiation safety. Everybody has had
22 a real contribution here, I believe. I think this
23 is a great group.

24 But there are a lot of things that I believe
25 that you can do, that government can do, and this is

1 government, that can do without essentially making
2 policy and asking questions and prompting following
3 certain processes or following a standard of
4 practice that already exists, I think is a good
5 example of that. Some states have done that very
6 successfully.

7 The RPP issue, though, I think to follow up, I
8 think having an RPP on CT would be very
9 appropriate. It allows those questions that you've
10 addressed in the information notice, you know, to
11 be raised once again, I think.

12 But I have a question on RPP. Over the years,
13 I've seen — I can remember many years ago that we
14 were — the inspectors were going around, and they
15 were checking RPPs on an annual basis to see if
16 they had been reviewed and signed. I see that
17 still occasionally in my travels within Tampa Bay.

18 When I go outside this area, I don't see those
19 being checked. I could give you some examples of
20 places that haven't been checked for the last three
21 years, or four. So I'm not sure, is that not being
22 enforced at this point? It's a rhetorical
23 question.

24 MR. STEINER: Supposedly they are being
25 checked. You know, things happen. I went to

1 mis-administration, what they called them back then,
2 for a therapy facility, and I asked them about
3 their operating and safety procedures, and they
4 said they didn't have one. I says, obviously, you
5 don't know what you're talking about.

6 So I asked the physicist, where are their
7 operating and safety procedures.

8 He says, we don't got any.

9 I said, we'll, you're a new physicist, and your
10 specialty is in dose modeling and 3-D renderings
11 of, you know, products and stuff.

12 And so I asked the physician, where's your
13 radiation safety procedures, emergency procedures.

14 He says, I've been after the physicist to make
15 those for years, right?

16 Well, they had been inspected for several
17 years, and the first thing on there besides the RSO
18 is do they have their procedures posted.

19 And I'm going, well, how did this happen. So
20 we have good procedures for inspection. Sometimes
21 they get glossed over. That's all I can say. That
22 priority is not supposed to change from area to
23 area.

24 THE CHAIR: You want to vote on some specific
25 recommendations? You want to bring up yours?

1 DR. WILLIAMS: Sure. I move this organization
2 endorse the Astro six point action plan for patient
3 safety.

4 (So signified by aye.)

5 MS. DROTAR: Second.

6 THE CHAIR: Any discussion?

7 (No response.)

8 THE CHAIR: All in favor?

9 (So signified by aye).

10 THE CHAIR: How about the proposal to include
11 Rad safety specific CMU require —

12 DR. SCHENKMAN: CME and CE —

13 THE CHAIR: What?

14 DR. SCHENKMAN: And CME for the physicians.

15 THE CHAIR: I guess we have to write a letter
16 to the Board of Medicine suggesting that.

17 MS. BONANNO: Is it easier to do it on the
18 technologist side —

19 THE CHAIR: Well, we can do the technologists
20 first, and then we can —

21 MS. BONANNO: — and —

22 THE CHAIR: — so require specific CEU
23 requirements for technologists and radiation
24 safety. I guess machine protocols or —

25 DR. SCHENKMAN: I'll second that.

1 MR. STEINER: To me it would be a little better
2 if you stated it, phrased something like doses for
3 common exams or doses for exams done at a facility,
4 because when you say radiation safety, is an x-ray
5 (unintelligible)production overview and what's a
6 (unintelligible)biological effect. I mean, they're not
7 going to approach the safe operation of the equipment.

8 MR. FUTCH: Radiation doses and units for
9 common exams.

10 THE CHAIR: Dose reduction strategies.

11 DR. SCHENKMAN: Okay. I'll second that one.

12 THE CHAIR: Any other discussion?

13 (No response.)

14 THE CHAIR: All in favor.

15 (So signified by aye.)

16 THE CHAIR: How about a proposal to include
17 evaluation protocols and radiation exposures and
18 any inspections —

19 MS. GILLEY: Yeah, as part of a review of the
20 RPP.

21 MR. STEINER: Anyone second that?

22 MR. TINEO: Second.

23 MR. SEDDON: Question: So would you want that
24 to be the actual inspector (unintelligible) or
25 actually have the facility do their own or review

1 and have the inspector verify as part of the
2 (unintelligible.)

3 MR. STEINER: To me they're two separate
4 issues. The radiation protection programs should
5 be reviewed by the machine program as appropriate
6 and approved. The inspection process should be, do
7 you have an up-to-date current radiation protection
8 program.

9 Are you renewing — it's self-renewing.
10 They're supposed to review it every year in January
11 and say, this still reflects what's happening in my
12 facility. Only they make changes to it. It's
13 different.

14 So, you know, the inspection part of it, and
15 having dose reductions strategies as part of the
16 radiation protection program.

17 MR. SEDDON: So the inspector would not be
18 actually (unintelligible) facility. They're
19 verifying the facility.

20 MR. STEINER: Correct. Or you could recommend,
21 you know, in selected cases such as maybe CT exams
22 or something that they pull a couple of films, but
23 then it's going to be tougher for them to evaluate
24 unless we get some nationwide trend or statewide
25 trend to evaluate —

1 MR. SEDDON: So my preference is that they were
2 verifying the facilities are actually performing
3 their own annual protocol review items.

4 MR. PASSETTI: Maybe the best way, Don, would
5 be to draft up some model RPP programs for like CT
6 facilities and let this group look at those and
7 approve those. Make sure we are capturing what you
8 think we should be looking at.

9 MR. DIELMAN: You could cover fluoroscopy under
10 that circumstance.

11 MR. PASSETTI: You could do one for whatever,
12 any one. Do we have a model RPP for therapy
13 facilities?

14 MR. STEINER: Because we don't really have, you
15 know, a lot of rule, what we have — well, I take
16 that back. There's an information notice that
17 talks about typical things that should be in a
18 radiation therapy, but doesn't have language. It
19 just says, address these items, so.

20 And that could be updated.

21 MR. TINEO: I think it should be important for
22 us to guide some of these facilities, and we should
23 have — I know the department pretty sure come up
24 with a standard RPP for every single of these
25 venues so that people are not over there just

1 guessing how to put it together, what to do, what
2 to say. You know, at least the basic of what they
3 should be looking at and approve, so.

4 MR. STEINER: I don't disagree 100 percent, but
5 one of the reasons we did not do it that way, not
6 only was because we didn't really have regulatory
7 authority to make specific recommendations, so to
8 speak, but that people do these like a radioactive
9 material license. The physicist fills them out,
10 they get them approved, they hand them to the
11 facility, and they don't always know what's in them
12 and they don't know how to operate under them.

13 So I give you, if I require that you follow my
14 model for a CT scanner or a fluoroscope, they're
15 going to pull it off the net, date, time stamp it,
16 send it to me, make all these promises, and they
17 don't know what they are talking about. Where before
18 we were at least making them think about or hire a
19 physicist to write it for them with the hopes that
20 the physicist would explain it to them.

21 MR. PASSETTI: Like you said, that's what we do
22 in the materials side right now, and you have to
23 enforce that during the inspection. And if they're
24 not following what they committed to —

25 MR. STEINER: We do now what they call a

1 pre-license inspection, which means when they
2 deliver the license, the inspector goes over with
3 them step by step, this is what's required of you
4 and when we come back for an inspection, these are
5 the records to document what (unintelligible) you agreed
6 that you will do.

7 MR. TINEO: That's where I'm going. I think we
8 need to be tougher in the machine side as we are in
9 the material side because it's just getting to be
10 too complicated out there.

11 MS. GILLEY: Is there a second to that?

12 THE CHAIR: So do we have a specific
13 recommendation?

14 (No response.)

15 THE CHAIR: Why don't we say something like
16 just that the RPPs include issues of protocols and
17 dose reduction and patient imaging studies.

18 DR. SCHENKMAN: Updated. Updated. Use the
19 word "updated."

20 MR. DIELMAN: Current.

21 MR. PASSETTI: What about if we do — would you
22 like us to draft like an RPP for safety, fluoro and
23 CT, those three areas and let you guys review
24 those, see if they're appropriate?

25 MS. GILLEY: An action item for the next

1 meeting.

2 THE CHAIR: Propose RPPs for —

3 MR. PASSETTI: For those three areas?

4 THE CHAIR: those three areas.

5 MR. FRADY: Terry Frady, State of Florida,
6 Bureau of Radiation Control. Among all of that,
7 fluoro is an issue, and Don and I had the
8 discussion, Debbie and I had it yesterday. Ray and
9 I had it this morning.

10 There's people out doing their pain management,
11 and I'm seeing really shocking exposure rates, you
12 know, like cardiology. And so when we do this
13 fluoro thing, and Don and I had talked about, if we
14 create investigational levels like we have in
15 nuclear medicine for inspection, I think that would
16 create awareness with them to do one of two
17 things: Take a step back or not wear the badge.

18 And I would like to see that as part of this
19 when that happens is to have investigational levels
20 for personal —

21 THE CHAIR: I think this is broad enough to
22 include whatever you want to have in it.

23 MR. PASSETTI: Oh, well, so you're volunteering
24 for the committee we are putting together to write
25 those RPPs.

1 THE CHAIR: Any further discussion?

2 (No response.)

3 THE CHAIR: All in favor?

4 (So signified by aye.)

5 THE CHAIR: How about the recommendation that
6 we recommend to the Board of Medicine that
7 radiation dosimetry issues and medical imaging be
8 required for CME for the state's physicians?

9 MR. TINEO: Second.

10 THE CHAIR: All in favor.

11 (So signified by aye.)

12 MR. PASSETTI: Vicki, how would we introduce
13 something like that to the Board of Medicine?

14 MS. GRANT: A letter. Through Larry McPherson,
15 and he would present it to the full board.

16 THE CHAIR: Any other issues that we want to?
17 Do we have any leads on getting a cardiologist?

18 DR. ATHERTON: Tim brought up the fact again we
19 are trying to get a cardiologist on the board, the
20 panel, the council, and I wanted to know if there's
21 any leads on finding a cardiologist for the
22 advisory board.

23 MR. FUTCH: I'll tell you. There's four empty
24 council positions. Two of them are lay people, and
25 two of them are related to physician who employs a

1 basic (unintelligible) machine operator. I forget
2 the other one. It's similarly related to that.

3 So there is no position for someone to be
4 appointed. I'm sure there is like a Florida
5 Cardiology Association. Florida ACC. Okay. We
6 could certainly ask them, I think.

7 MR. PASSETTI: Maybe that's something the
8 advisory council would like to recommend. We don't
9 have a formal position we could appoint them to by
10 statute. There isn't one created.

11 But in the past we have used — we have called
12 it advisors, Libby Brateman, I don't know if you
13 remember her. She used to be our diagnostic
14 medical physicist advisor. We could do it that
15 way, if you guys wanted certain type people to be
16 advisors to this council, we could do it that way.

17 DR. SCHENKMAN: I think that would be
18 important, because cardiology, there's so much use
19 of fluoroscopy and radiation in cardiology.

20 THE CHAIR: I agree. We have to find a
21 cardiologist willing to —

22 MR. TINEO: That cares about radiation safety.

23 UNIDENTIFIED SPEAKER: I know one man that
24 would probably love to be on this advisory.

25 MR. FUTCH: Whatever college or association

1 there is first, and if we fail there, then —

2 THE CHAIR: Florida chapter of the ACC.

3 Any other issues before we move on — oh, we
4 need a vote for that? (unintelligible) of a
5 cardiology position.

6 THE CHAIR: We'll move on in the agenda.

7 MS. GILLEY: Well, the fun stuff. We are doing
8 some good things. Not everything's bad.

9 (Presentation given by Ms. Gilley.)

10 MR. DIELMAN. In the transition, I have a quick
11 question. I signed up for the program. I never
12 got a response. So I presume I'm going to
13 St. Petersburg anyhow.

14 MS. GILLEY: See me, and I'll get you the
15 address.

16 MR. FUTCH: I wanted to mention. Dr. Janowitz
17 is up for just a second. The website has gotten a
18 fair amount of new material on it. We also, for
19 those interested in the materials side, we created
20 a new link off the materials page and off the
21 bureau home page, what we are calling YRC items of
22 interest, which are documents that YRC share with
23 all your CR licensees. Which the licensees ought
24 to know about but —

25 UNIDENTIFIED SPEAKER: They don't necessarily.

1 I won't say that. Anything you want to add about
2 that?

3 MR. PASSETTI: No.

4 MR. FUTCH: We have one more scheduled talk, I
5 think. And this is Don and Bill, use of portable
6 and mobile x-ray machines. When do we lose folks?
7 When do we have to get to airplanes? Take your
8 time.

9 MR. PASSETTI: I'm going to turn this over to
10 Don in just a second. I just wanted to kick it
11 off. Under J-2, if you'd look at that, it's
12 actually G-2, the third page, which is V-12, at
13 the very top, paragraph C. Does everybody see
14 that?

15 (Some respond yes.)

16 MR. PASSETTI: That is a rule we have that we
17 would like to talk to you about. As it states
18 here, it says: "Portable or mobile
19 equipment shall be used only for examinations where
20 it is impractical to transfer the patient to a
21 stationary radiographic installation."

22 I don't know if you can see the problem with
23 that rule, but we are having some difficulties. We
24 don't typically inspect for this when we go out to
25 do inspections. It's not something we typically

1 inspect for.

2 Right now we are having a big influx of
3 insurance companies denying claims based on this
4 rule. They're saying — it's not impractical for
5 this patient to go over here instead of being
6 x-rayed with a portable or mobile.

7 So they're denying claims. Now our attorney
8 got involved because Don keeps getting someone to
9 testify at — these insurance, of course, our
10 attorney looked. He says, I don't like this rule,
11 we need to change this rule. I kind of agree.

12 It's not really clear what's impractical and
13 who decides, and all those questions go up. So
14 Don's going to quickly go over the issue and wanted
15 to see if you guys had suggestions on maybe
16 changing or doing away with this particular rule.

17 (Presentation by Mr. Steiner.)

18 MS. DROTAR: Can you share what the attorney
19 doesn't like?

20 MR. PASSETTI: Couple things. We're not
21 inspecting for this rule. Some of the reasons we
22 are not inspecting for it, it's not clear who
23 decides it's impractical or not or the doctor who
24 orders the x-ray or patient who decides it's
25 impractical to go to a fixed facility. She feels

1 like if we are going to have this rule and enforce
2 it, we need to be more specific and clarify what we
3 really need.

4 MR. FUTCH: So to fix that, we could say, for
5 example, before the word "impractical," where it
6 is, comma, in the opinion of the registrant,
7 impractical to the — to transfer the patient to a
8 radiographic installation —

9 MR. STEINER: That would be bad though.

10 MR. FUTCH: I'm just throwing it out.

11 MR. TINEO: What you're seeing is, and I see
12 where — and I'm talking from the perspective of
13 the reg — more and more hospitals are being
14 redesigned or rebuilt with private rooms, and the
15 patients don't want to be inconvenienced. Not that
16 they cannot be transferred but it's inconvenient to
17 leave their room because there's multiple
18 procedures being done at the same time, and the
19 physician says just do a portable x-ray.

20 We don't charge for portable anymore. Nobody
21 reimburses you for portable. Just reimburse you
22 for x-ray. In fact, they don't even reimburse you
23 for that — (unintelligible) — if you go that
24 route, you might as well just do it for free.

25 But the question is we are trying to move the

1 patient the least amount of possibility to the
2 department, and that's where you're seeing the
3 influx of portable procedures being done. And in
4 the hospital and then it just is to the radiologist
5 whether or not they will accept that quality of
6 radiograph, and if it's not acceptable, then we
7 need to bring the patient down. And that is where
8 we are, that's where we are —

9 MS. DROTAR: I think on the outside of the
10 hospital because there are a lot of mobile
11 companies in Florida and they go to nursing homes,
12 they go to the jails, they go to prisons, and
13 there's a necessity for those, for that to be
14 done. They go to people's homes.

15 Would we be able to say where there is a
16 written directive by a physician that it's an
17 alternative method?

18 MR. PASSETTI: Where they actually prescribe a
19 portable or mobile.

20 MR. DIELMAN: That's a good idea.

21 MR. PASSETTI: That puts it on them to decide
22 whether it's impractical or not.

23 MR. STEINER: That's pretty much how we've been
24 doing it in the past. We say, you know, we are not
25 going to make the decision, you know, whether it's

1 medically necessary or not. That's for the
2 physician to decide.

3 But if we find a physician that's ordering in
4 nursing home all their patients portable, we would
5 write a letter and say, look, you know, our
6 regulations, (unintelligible) transferred to
7 diagnostic (unintelligible) should be transferred
8 to a diagnostic imaging facility, so that they get
9 a quality exam for the dose that they receive.

10 So it doesn't mean convenient. It means you
11 know what it says which is impractical and then as
12 long as they're not abusing, right, their
13 prescription, we are okay with that. We recognize
14 that there is a legitimate and necessary need for
15 mobile and portable exams.

16 That rule doesn't say that you can't do them
17 period. It means, you know, let's be reasonable,
18 you know, about this issue, which is, you know,
19 what Bill is saying about us not really expecting.

20 We don't really go try and uncover misuse, but
21 when the misuse is brought to us, we would
22 typically write them a letter and say, look, Doc,
23 let's fix this problem that we have, and usually
24 they will.

25 You know, we haven't really had anybody that

1 says they wouldn't — except for now, these
2 lawsuits, and now they're in the middle of
3 litigation, so we are not corresponding with them
4 right yet.

5 MR. TINEO: In that scenario, would you write
6 the letter to the nursing home or write the letter
7 to the physician that writes the order?

8 MR. STEINER: I would try, if I could get a hold
9 of the physician, I'd write it to the physician.
10 That's who I'd be talking to. I would not be above
11 sending something to the nursing home and letting
12 them know that there was a problem

13 And theoretically, if the physician refused to
14 cooperate, we'll go to their licensing board.

15 MR. PASSETTI: And actually this rule is
16 written for the registrant, not the physician or
17 the facility.

18 MR. STEINER: It's true. The registrant is the
19 x-ray company, so I have written letters to them
20 too. I have written letters to the mobile
21 companies, and when people call me every once in a
22 while, they say, I'm thinking about starting a
23 mobile x-ray company, and I say, well, that's
24 great, to register your x-ray machine, have
25 certified operators make sure every exam is

1 authorized by a physician.

2 The physician doesn't need to own the
3 equipment. I say, oh, by the way, use of mobile
4 portable equipment is prohibited for patients that
5 can be moved to fixed facilities so don't think
6 that you're going to make lots and lots of money
7 going to nursing homes. There are needs for mobile
8 equipment at nursing homes and patient's houses.
9 If the physician orders it. We are not saying no;
10 we are saying don't abuse mobile portable.

11 MR. FRADY: Right. The one thing I'd like to
12 bring up. Our tax dollars at work by having ALF or
13 nursing homes provide a rider to go with the patient
14 to the x-ray place, and then the vehicle that
15 transports them, which is about \$68 to \$75 dollars
16 for that ride. Now, I'm not going to argue the
17 point of (unintelligible) chest x-ray with a grid
18 is as far better than fifty inch sitting in the
19 bed.

20 Maybe if kind — I don't know how. You know,
21 it's funny. I don't know what we have as far as ,
22 something where we recollection 3407. Other than
23 the physicians probably working at the nursing home
24 and not going to send them away anyway.

25 MR. STEINER: The deal really breaks down to

1 medical necessity and does that doctor that's
2 ordering the exam understand the dose for the
3 quality of the exam that they're receiving.

4 MR. FRADY: Usually next step, if they're going
5 into pneumonia, he's sending them to the hospital.

6 MR. STEINER: You can make the argument of
7 annual or routine physical chest x-ray
8 shouldn't be taken.

9 If they're looking for pneumonia, they can hear
10 with the scope. Back when I was an x-ray tech,
11 which is ancient history, we did pre-admission chest
12 of everybody that came in the hospital and did
13 pre-surgery chest even if it's 12 hours
14 before that, we did another x-ray on them, and they
15 just had one in the last 12 hours. They knocked
16 some of that stuff off, but I mean, that was
17 common.

18 And things change, and, you know, what the
19 medical — what are they really looking for. If
20 they're looking for pneumonia, maybe they need a
21 quality exam. If they are looking for fluid
22 levels, maybe portable is good enough.

23 If they're in traction and stuff like what we
24 have already suggested, I don't care about dragging
25 the bed down to — they just want to verify the
26 bones are still in line or whatever, what's the

1 status.

2 But when you say — I mean, I'll just say it,
3 you know, I keep saying, don't sugar coat it. But
4 when you got a patient that goes to see a
5 chiropractor because they have been in a car
6 accident and they come back every three to five
7 days and get an x-ray of their spine with a
8 portable machine, and then they don't even get the
9 results of those x-rays for six weeks and they have
10 been treating them the whole time, it doesn't take
11 a rocket scientist to say you're misusing the
12 equipment.

13 You know, actually you can say they didn't need
14 the x-rays at all if they're treating before they
15 get the results. You know what I mean? You're way
16 out of the scope of feasibility here.

17 MS. DROTAR: It almost sounds like you already
18 have, the insurance companies already
19 (unintelligible), what they determine is misuse,
20 and it's just more that by this law, what's written
21 here that what we want to say is that the
22 physician has that authority to determine by a
23 written directive that the patient should have a
24 portable x-ray.

25 MR. PASSETTI: To me, because we have gone and

1 . asked physicians about this particular thing, and
2 then said, well, I just ordered an x-ray. I didn't
3 tell them whether it had to be stationary or
4 portable. They just said, I just told this
5 patient, go get a chest x-ray and they happened to
6 go somewhere where they're using a portable or
7 mobile thing.

8 So to me, it's simply who decides if it's
9 impractical or not I think we need to spell out.
10 Like you say, if it's through a written directive
11 from a physician —

12 MR. SEDDON: I think also, the rule has been in
13 existence for 35 years whatever, but the quality in
14 most hospitals, the quality of portable x-rays
15 isn't crystallized. Most facilities are
16 (unintelligible). Most facilities use
17 (unintelligible) to make sure. They're on — most
18 facilities have, if it's a DR portable, have ARC.

19 MR. STEINER: We're not talking about
20 hospital-based.

21 MS. GILLEY: We never are.

22 MS. DROTAR: It's more about physicians offices
23 or some place —

24 MR. STEINER: We are talking about a mobile
25 company that shows up at a chiropractor's office in

1 particular and is taking x-rays. You know, one of
2 the other things that we do is we say you cannot
3 take mobile portable examinations unless you're a
4 general radiographer because some of these problems
5 with geometric imaging come up and
6 realizing you have to change techniques and whatever,
7 whatever.

8 And so we are saying in several places in our
9 regulations that the use of portable is not a good
10 idea.' It's not an optimal idea. That is all we
11 are trying to do here is say let's not abuse — we
12 are not saying don't use them. We are saying let's
13 not abuse the use of portable x-ray examinations.

14 Unfortunately, the insurance companies got on
15 to this about a year and a half or three years ago,
16 three years ago, and they decided, oh, boy, here's
17 a reason to not pay. Well, they probably weren't
18 looking at this issue for radiation protection.

19 In fact, I know they ain't. What I was
20 describing, what they got is somebody taking lots
21 of x-rays for no legitimate reason, you know, the
22 services were rendered, and they had to pay.

23 And all of a sudden they say, oh, look, they
24 shouldn't be doing this for this other reason, so
25 let's not pay them. We got five ways of abuse.
26 Let's use this reason to not pay them.

1 DR. ATHERTON: So I think we are in agreement
2 we like the rule, but apparently, they want to
3 change the wording.

4 MR. STEINER: I think we are saying — looking
5 for some clarification in support of the
6 committee.

7 MR. PASSETTI: Makes it more enforceable.

8 THE CHAIR: I think written guidance, written
9 directive by a physician ordering the test is
10 probably most appropriate.

11 MS. BONNANO: I agree.

12 MR. STEINER: After consideration of dose
13 versus diagnostic quality —

14 MR. TINEO: Decisions are not even —

15 MR. STEINER: They should be — we are sitting
16 at a table here for radiation protection. We have
17 said 20 times today doctors shouldn't be ordering
18 exams that they don't know what the doses are.

19 MS. BONNANO: We are talking internal medicine,
20 and GPs, they're not going to have a clue.

21 MR. PASSETTI: But if you at least make them —

22 MR. STEINER: You can't do this unless it's
23 special. You're going to have to sign it, and
24 you've made a consideration of what the image
25 quality is going to be.

1 UNIDENTIFIED SPEAKER: Make them think about
2 it.

3 MR. PASSETTI: If they have to write a
4 prescription for a portable mobile, they're going
5 to think about it.

6 THE CHAIR: Maybe they should inquire,
7 something like medically necessary sort of like
8 when you write a brand prescription, you have to do
9 that because it's easy enough to say portable.

10 But portable chest x-ray medical necessary.

11 MR. PASSETTI: We'll work on some language and
12 bring it back to you guys.

13 MR. SEDDON: I wanted to raise a point that Don
14 mentioned. You mentioned the letter from the FDA
15 concerning the utilization of fluoroscopy or
16 measurement of the 10 R per minute rule. We had
17 some discussion in the past about that.

18 One issue has been raised from the vendors
19 eventually that in order to meet the Florida
20 requirement to be within compliance based upon the
21 geometry set-up (unintelligible) they're having
22 because the newer systems are all computerized
23 detector. They're designed to be calibrated with
24 the exposure (unintelligible) measure, so in order
25 for them to get this to pass, the rule is to

1 actually lie to the system and so that it's
2 (unintelligible) the system, very complex system
3 involve (unintelligible). Not sure
4 (unintelligible) qualities and patient dose and
5 real situations.

6 So I'm not sure that has been raised with you,
7 Don, or not. It's been raised with us when we come
8 on facilities or come on vendors. We do all we can
9 internally to restrict geometrize, how the
10 equipment is used.

11 Sometimes you still have to have the vendor
12 recalibrate the system to a different standard than
13 what they're designing because they're following
14 the FDA guides. So have we had that discussion
15 with anyone?

16 MR. STEINER: Yeah, every week. Not so much
17 facilities but with the manufacturing
18 companies. The vendors are going, you know, our
19 hands are tied, we can't do this, we can't do that,
20 and what we do is we carefully explain to them that
21 we are not upset with them and not upset with their
22 calibration of the machine.

23 But the state of Florida has the regulation
24 that requires that when he uses the fluoroscopy
25 machine, it shouldn't exceed 10 R when it enters

1 the patient, and when you have a patient that has
2 patient support, cannot get the input
3 image intensifier up against the patient. You know,
4 there's a three to five-inch gap in there.

5 And then if the facility has procedures or
6 policies or some way to set that reproducible
7 geometry up, that's how we'll measure. The
8 regulations actually say worst case geometry, which
9 is, we never meant to go there, you know what I
10 mean. And we don't want to go there.

11 But if they got some type of procedure or
12 policy that says this is the geometry we are going
13 to use, then all we care is that the dose where it
14 enters the patient doesn't exceed 10 R per minute
15 at that configuration, so a lot of times what the
16 vendors can actually do for you is — not for you
17 but for the facility is help them come up with a
18 method to reproduce that geometry so we can inspect
19 it in a reasonable case geometry.

20 Because the inspector's instruction is if they
21 don't have those types of procedures, go ahead and
22 put in worst case, let it fail, let the (unintelligible)
23 facility correct it procedure-wise. In just the last
24 month or so I've had a couple conversations, say,
25 look, the equipment you're selling is getting very

1 sophisticated, and it's supposed to display dose to
2 the patients on the machine.

3 And the only reason, the only way we can do
4 that is to know our geometry, so we are plugging in
5 that it's 70 CM, if you got 100 CM (unintelligible)SID
6 system (unintelligible)SSD is 30, say you got 70 left,
7 they're plugging that stuff in and they're
8 saying — they intimated to me that they could do
9 that for each exam type, that you could select that
10 exam type, and it could give you the opportunity to
11 change the source, to skin distance input into the
12 dose system. And so says, well, that's great,
13 get the reproducible geometry, figure out what the
14 new source to skin distance is.
15 Everybody good, happy as long as they can demonstrate to the
16 inspector to set that up.

17 Maybe the equipment is going to help us fix
18 this problem. But other than that, I made
19 reference to it in the talking, the FDA on one hand
20 says, we got this real problem. We need the
21 state's help with those fluoro on the other hand, you can't
22 inspect to your 10 R per minute state entrance
23 limit because it looks different than what we —

24 MR. SEDDON: Because their guide is 30 CMs,
25 yeah, for what they call —

1 MR. STEINER — not for what they call lateral
2 fluoroscopes. Defined in FDA rule as 15
3 (unintelligible) centimeters from the center
4 line. But the manufacturer said this thing was
5 manufactured as ACR a c-arm. I say, well, that's great
6 because that's not how it's being used. It's being
7 used with a patient support device routinely, this
8 configuration for heart catheterization, whatever
9 they're doing.

10 And so, you know, like I said, we get these
11 calls all the time, and we explain it to the
12 vendor. Usually we have to go up the chain quite a
13 ways and explain to them, we are not picking on
14 you, and you certainly don't want to try to go to
15 court and say we don't care about dose to
16 patients and violations. To use federal rules, no,
17 no, we don't want to do that.

18 Say, well, here's the fix. Okay. We'll work
19 on that. It goes down, and then it's okay for a
20 while, and then we got a new set of service guys
21 come through and start the problem all over again.

22 MR. DIELMAN: For that end, just quickly. I
23 hear in hospital systems, which you're in and I'm
24 in, we hear constantly, it's either the vendor or
25 it's the clinical engineering department, is there

1 any way or any — I know that you deal with
2 (unintelligible.) Would you consider writing down
3 or putting something in writing that we can
4 distribute as to, you know, the intent that you
5 have.

6 In other words, the
7 solution that would be acceptable. Because you
8 just stated it would be willing to write it down.
9 I don't mean you personally. The department, you
10 know, bureau, write it down, and then we could get
11 it out there.

12 Because that would be helpful at least, because
13 there's a lot of issues, other issues that play in
14 there. You've got the vendor, you've got the clinical
15 engineer involved and then you've got, you know, the
16 bureau involved, and it's — there's not a good
17 communication process taking place. It's always
18 hearings.

19 MR. STEINER: Most of the time, the inspector,
20 if he gets jumped will point them to the inspection
21 procedures which are very, to me, straightforward.
22 They want to play their trump card, which is "FDA
23 Said" or "the manufacturer said". They want to argue
24 with you. You know what I mean?

25 So after a while — but, yeah, we could do the

1 same thing. We could reiterate what's in our
2 inspection procedures and say this is the —
3 probably could put it on the agenda for next time,
4 talk a little more in detail on what we're trying
5 to accomplish.

6 DR. ATHERTON: Is there any way to run, when
7 the machines are registered either fluoro or X
8 machines, automatically triggers inspection or —

9 MR. STEINER: A good point, age of machines. I
10 know some offices have things that are older than I
11 am. There are some very old x-ray machines that perform
12 very well. Then there is some cheaply manufactured stuff
13 that didn't work good when it was brand-new, 15, 20
14 years old, and you can't get parts for them, and
15 they should be taken away.

16 There is a state, I want to say New Jersey that
17 says you can't use an x-ray machine that's over ten
18 years old, something like that.

19 MR. STEINER: It ain't going to happen. But
20 they are inspected. Medical equipment is inspected
21 at least every two years. If they have a
22 non-compliance, they're inspected the next year, and
23 if they have no non-compliances, they go two years.
24 So it doesn't matter how old are they are. Doesn't
25 matter how old, they are going to get inspected

1 just like everything else.

2 So they're not slipping under the radar.

3 Unlike Georgia, who says if equipment is
4 pre-certification because FDA has no regulation,
5 they have no regulation, and they don't inspect
6 uncertified machines. Frequency of the inspection does vary.

7 The chiropractic DO, MD, on all that stuff and
8 the hospital, it's the same frequency
9 veterinary and educational, three years.

10 THE CHAIR: We'll go on. Any council member
11 issues?

12 (No response.)

13 MR. FUTCH: October 5, October 19
14 possibilities. We can try and settle one today.

15 THE CHAIR: Any conflicts?

16 MR. LIVINGSTON: Let's say October 5 for now.
17 We can send something out later. Would you like to
18 go to Orlando next time? You tired of Tampa?

19 (Some say yes.)

20 MS. LIVINGSTON: So we'll shoot for Orlando
21 next time.

22 In your package you all got your travel
23 vouchers. Go ahead and send me the information
24 with your receipts, and I'll take care of it all.

1 THE CHAIR: Motion to adjourn.
2 DR. SCHENKMAN: Second.
3 (Proceedings concluded at 3:00 p.m.)
4
5

CERTIFICATE OF REPORTER

1
2 STATE OF FLORIDA
3
4 COUNTY OF HILLSBOROUGH

5
6 I, KATHY L. GORRELL, a Notary Public in and for
7 the State of Florida at Large, do hereby certify that
8 the foregoing proceedings were taken before me in the
9 cause, at the time and place, and in the presence of
10 council as set out in the caption hereto, at Page 1
11 hereof; and that the foregoing typewritten transcript
12 consisting of pages contained herein, inclusive, is a
13 true record of the proceedings had at said session.

14 I FURTHER CERTIFY that I am neither an attorney
15 or council of any of the parties in this cause, nor a
16 relative or employee of any attorney or council employed
17 by the parties hereto, nor financially interested in the
18 event of said cause.

19 IN WITNESS WHEREOF, I have hereunto subscribed
20 my name and affixed my seal this 25th day of May, 2010.

21

22

23

24

25

26

KATHY L. GORRELL, RPR
Notary Public
State of Florid