

**ADVISORY COUNCIL ON
RADIATION PROTECTION**

**CERTIFIED
ORIGINAL**

Florida Department of Health
Bureau of Radiation Control

Hampton Inn & Suites
Tampa Airport Avion Park Westshore
Tampa, Florida 33607

Tuesday, May 13, 2025

10 a.m. - 2:53 p.m.

Reported by
Rita G. Meyer, RDR, CRR, CRC
Realtime Reporter and Notary Public
State of Florida at Large



1 ADVISORY COUNCIL MEMBERS PRESENT:

2 Mark S. Seddon, M.P., DABR, DABMP (Vice-Chairman)

Chantel Corbett, AS, CNMT, RT (N), RSO

3 Adam Weaver, MS, CHP

Joseph Danek, CHP

4 Jennifer L. Peterson, M.D.

Kathleen Drotar, Ph.D., M.Ed., RT. (R) (N) (T)

5 Albert Tineo, MS, CNMT

Nicholas Plaxton, M.D.

6 Roosevelt Nheik, RRA, RT, (R) (VI) (ARRT), RPA (CBRPA),
MRS

7 Dawn Shepard, NP, Florida Gulf Coast University

8

9 FLORIDA DEPARTMENT OF HEALTH STAFF

BUREAU OF RADIATION CONTROL:

10

Clark Eldredge, Bureau Chief

11 James Futch, Environmental Administrator

Kevin Kunder, CNMT, RT(N), Environmental Administrator

12 Lisa Gavathas, Environmental Administrator

Dayle Mooney, MQA Administrator (Appearing remotely)

13 Evalee Taylor, Programs Operations Administrator
(Appearing remotely)

14 Joshua Carde, IT specialist

15 GUEST SPEAKER:

16 Adam Wang, Ph.D. (Appearing remotely)

Stanford University

17

MEMBERS OF THE PUBLIC:

18

Sean O. Wilson, MS, DABR, West Physics

19

20

21

22

23

24

25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

AGENDA

PAGE

MORNING SESSION

Welcome and Introductions	4
Space Xray -- First Medical X-rays in Space.....	10
Bureau Update	40
Radioactive Materials Update	43
RadTech Licensure & MQA Update	52

AFTERNOON SESSION

Radiation Machine Update	90
Emerging Issues, CT Dose, Phosphate	117
Technology & Standards Update	152
Other Business/Next Meeting	158
Adjourn	165
Certificate of Reporter	166

1 MARK SEDDON: We have the majority of folks
2 here. I think James said there's some people
3 running a little behind because of meeting some
4 traffic.

5 All right. So we'll go ahead and do our
6 introductions, if that's okay. All right. Start
7 from this side, Joseph?

8 JOSEPH DANEK: Yes. I'm Joe Danek. I'm a
9 certified health physicist. I'm also considered the
10 expert in environmental matters for the advisory
11 council. I'm retired. I think I know everybody in
12 here from the past meeting, but I worked for Florida
13 Power and Light and NextEra Energy in their nuclear
14 power program for 35 years.

15 LISA GAVATHAS: I'm Lisa Gavathas. I am the --
16 it says environmental specialist, but I'm the
17 environmental administrator for the x-ray program
18 for radiation machines in Tallahassee.

19 KEVIN KUNDER: I'm Kevin Kunder. I'm the -- I
20 also work for Department of Health Radiation Control
21 and I'm the radioactive materials administrator.

22 CLARK ELDREDGE: Clark Eldredge, Bureau Chief
23 for the Bureau of Radiation Control.

24 MARK SEDDON: I'm Mark Seddon. I'm the
25 certified medical physicist. I'm representative and

1 also serving as the Chair for this meeting.

2 JAMES FUTCH: James Futch with the microphone.

3 To remind everybody, we're actually being recorded

4 right now. We have a guest coming up and Josh is

5 getting ready for him, so we started the recording

6 early. We're not going to do the whole meeting.

7 Just the parts that have the remote participants.

8 Anyway, James Futch, Bureau of Radiation

9 Control, Technology Standards, CE; other things.

10 This morning, Brenda and Antonya, administrative

11 support for the group.

12 If anybody wants to be heard on the recording,

13 you're more than welcome to borrow this. I'm

14 turning it off.

15 KATHLEEN DROTAR: Kathy Drotar. I'm the

16 radiation -- no, I'm not. Am I?

17 JAMES FUTCH: We'll get to that in just a

18 second.

19 KATHLEEN DROTAR: So possibly radiation therapy

20 technologist member or member of the public.

21 ALBERT TINEO: I'm Albert Tineo. I'm from

22 Halifax Health.

23 ROSEVELT NHEIK: I'm Rosevelt Nheik. I'm the

24 radiologist assistant for Florida. I work at Moffit

25 Cancer Center.

1 CHANTEL CORBETT: Chantel Corbett. I work for
2 Fusion Physics. Pending nuclear medicine
3 representation on the council.

4 JENNIFER PETERSON: I'm Jennifer Peterson. I'm
5 a radiation oncologist at Mayo.

6 DAWN SHEPARD: My name is Dawn Shepard. I'm a
7 member of the public. I'm also a triple boarded
8 nurse practitioner working for Florida Gulf Coast
9 University. Hi, everyone.

10 MEMBERS: Good morning.

11 JOSH CARDE: Oh. My name is Joshua Carde. I'm
12 the senior information business tech consultant.
13 That's just a fancy way of saying I'm the IT guy.

14 MARK SEDDON: The important guy. All right.
15 So do you want to talk about minutes? Make sure
16 everyone has your lunch order placed in and provided
17 to Miss Lisa.

18 JAMES FUTCH: And Adam said he was not eating
19 with us and Dr. Plaxton said he was, so hopefully
20 he'll be here soon and he can give that to us.

21 LISA GAVATHAS: Okay. We have a speaker, a
22 guest speaker coming up who's Adam Wang. He's a PhD
23 assistant professor at Stanford University. And he
24 is on the Space X-ray team. Recently, I was
25 contacted by a radiologist from Mayo Clinic

1 Rochester. I was contacted by a radiologist,
2 Dr. Sheyna Gifford, from Mayo Clinic, and she was
3 asking what the regulatory requirements were for
4 having an x-ray machine in the State of Florida. So
5 I asked, where are you using it? And she said it
6 was going to be on Kennedy Space Center. So I said,
7 okay.

8 So I passed it over to NASA, one of our ex
9 co-workers, Tristan Timm and he talked to her. We
10 have e-mail chains going back on forth. And she --
11 and when he asked her, she said, it will be in
12 space. So it was out of their regulatory purview, I
13 guess.

14 So anyway, I asked -- she did volunteer to
15 speak to us. She did -- she volunteered to speak to
16 our group. And so when she found out the day and
17 time, she wasn't able to make it, but she did get us
18 in touch with Dr. Wang, who volunteered graciously
19 to be here today and so he's going to be on shortly,
20 hopefully. And James and I talked to him briefly
21 and it sounds like a very interesting topic.

22 JOSEPH DANEK: Is he also from Stanford?

23 LISA GAVATHAS: He is from Stanford. He's an
24 assistant professor of radiology at Stanford.

25 JAMES FUTCH: His bio is actually in the

1 packet.

2 JOSEPH DANEK: Oh, it is? Okay.

3 LISA GAVATHAS: I didn't want to say too much
4 because I didn't want to -- I figured that he would
5 probably introduce himself, so --

6 JOSEPH DANEK: Yeah.

7 NICHOLAS PLAXTON: Do they need a technologist
8 in space? Advocations?

9 JAMES FUTCH: Yeah.

10 KATHLEEN DROTAR: Are you volunteering?

11 ROSEVELT NHEIK: I'll go.

12 JAMES FUTCH: It was really interesting. We're
13 looking for it. For the other part of this morning,
14 we're going to have our -- after Dr. Wang goes and
15 Clark and Kevin give their updates, we're going to
16 have the medical quality assurance staff from
17 Tallahassee remote to answer questions. Not a
18 presentation. To answer questions. And it's
19 basically about the licensing, Rad Tech licensing
20 issues that several facilities and schools have had
21 for about -- since June of last year. And I'll
22 summarize the message for you.

23 We had a problem, it's, it's, it's fixed or
24 it's on its way to being fixed and Kathy may have
25 some questions and maybe Alberto will have

1 questions. Anybody else who's an employer or
2 whatever you want. That's what they're here for.

3 Dayle Mooney is my equivalent on the MQA
4 medical quality assurance side. And she and her,
5 her administrative assistant will be on, Evalee
6 Taylor, whose name I didn't put in here.

7 Let's see. What else was there? Oh, planning
8 for the next meeting, as long as we're killing a
9 little bit of time, the -- sorry. The planning for
10 the next meeting, we're trying to look at October.
11 I'm getting a little ahead of myself. It's the last
12 thing we'll talk about. I wanted to have it in your
13 heads.

14 We actually have two talks planned for October.
15 One of those is going to be the radiation safety
16 officer, Kurt Geber, from the Kennedy Space Center,
17 on to basically do a talk along the lines of what
18 KSC uses radiation for.

19 And there's Dr. Wang, so we'll talk about that
20 later.

21 Hey, Dr. Wang. How are you doing this morning?

22 DR. ADAM WANG: Hi. Good. Good morning,
23 everyone. Can you hear me?

24 JAMES FUTCH: You are loud and clear. Can you
25 hear us okay?

1 DR. ADAM WANG: Yes. That's great.

2 JAMES FUTCH: Good. We have assembled -- most
3 of the members are here this morning. And we have a
4 couple minutes ahead of time. I don't know if you
5 want to do your own bio intro or if you want us to
6 or whatever to lead off with. Please, go ahead.

7 DR. ADAM WANG: Sure. Yeah. Well, thanks
8 again for having me.

9 So I'm over here in California. I'm an
10 assistant professor of radiology where I lead a
11 research group over at Stanford University in
12 California. I've been part of the Space X-ray team
13 for the past few years and I'm happy to share what
14 we've done as a team. So let me go ahead and pull
15 up my slides.

16 Is that coming through for you?

17 JAMES FUTCH: It's beautiful.

18 DR. ADAM WANG: Okay.

19 JAMES FUTCH: The first thing I want to say is
20 I want permission to take your mission patch and
21 make T-shirts out of it because that's a pretty cool
22 logo.

23 DR. ADAM WANG: Yeah. Yeah, that's been fun,
24 one fun part of it, so yeah, that's our mission
25 patch.

1 And so today, I'm going to share about our --
2 the story behind the world's first medical x-ray in
3 space.

4 So just to acknowledge the team. So first I'll
5 just say that I'm -- full disclosure here -- I'm an
6 unpaid consultant, which means basically that I
7 volunteer my time for this project because it's a
8 fun project, so I do this outside of my data out
9 here at Stanford.

10 Our team is comprised of -- well, first off,
11 I'll acknowledge our fearless leader, team leader
12 Sheyna Gifford, who's at the Mayo Clinic. So our
13 team is comprised of aerospace medicine physicians
14 and radiologists. I'm a researcher, myself. And we
15 have a couple of industry participants, so from
16 x-ray and MK imaging.

17 So our study goal has been to successfully
18 demonstrate a battery-powered digital portable
19 radiography system in the setting of orbital flight.
20 And essentially, that means in space.

21 So why x-ray? Well, I think this group knows
22 very well the power of x-rays and the utility of
23 x-rays. It is the most commonly performed
24 terrestrial exams here on earth, medical imaging
25 exam. And of course, it has utility in evaluating

1 dental disease, musculoskeletal system, lungs,
2 medical devices, lines, tubes and it's also been
3 demonstrated in numerous austere environments such
4 as this example here, using actually very similar
5 technology at Everett Space Camp.

6 What has not been done is testing it in
7 suborbital or orbital flight. At least for space
8 medicine, we believe that this is going to be
9 important for providing quality health care for
10 long-duration missions and permanent habitations.
11 So think about long-duration trips to Mars or
12 habitation on the moon.

13 So the equipment that was used -- and by the
14 way, feel free to jump in with questions at any time
15 or I'm happy to take questions at the end.

16 So the equipment that was used, again, working
17 with the vendors here, so on the x-ray generator
18 side, this is a portable system from MinXray.
19 They're a company based out of the Chicago area and
20 the weight is, as you see here, 7.7 kilograms or
21 about 16 pounds, so it's definitely handheld. You
22 can carry it around, battery powered, and it's
23 already been recognized for military use.

24 You can see its max output here, 90kV, so it's
25 certainly good enough for x-ray imaging, although

1 lower power than, say, a fixed system.

2 On the detector side, we're using the KA
3 Imaging panel and this is, again, a wireless battery
4 powered portable detector. Weighs about eight
5 pounds. And it's full size for chest and other
6 imaging.

7 I'll talk a little bit about the triple, what's
8 unique about this, the triple layer detector with
9 dual-energy capability. We'll talk a little bit
10 more about that. Importantly, both these pieces of
11 equipment are FDA cleared, so they are -- they can
12 be sold and used commercially here in the U.S.

13 So the state of space medicine, at least three
14 years ago, was that, you know, I pulled this quote
15 that the advancement of human space flight needs
16 medical imaging technology to insure high-level of
17 inflight care. And as of three years ago, only
18 ultrasounds had been used in space flight. So
19 again, our proof of concept work is to demonstrate
20 the feasibility of performing human radiographs
21 first actually in microgravity.

22 So at the time, our first mission patch was for
23 diagnostic portable x-ray in space. And again, this
24 was a collaboration among radiologists, physicians,
25 scientists and x-ray vendors. So we called

1 ourselves DUX initially. And this is our x-ray
2 space duck.

3 Okay. So the first demonstration was actually
4 just in a parabolic flight. So in microgravity, can
5 you take radiographs? And there's -- you know, when
6 you think about it, in microgravity, there's no
7 reason that the equipment wouldn't function. This
8 equipment doesn't fundamentally rely on gravity.
9 But what does become tricky is things like
10 positioning and, you know, any concerns about
11 motion.

12 So this was the first technical demonstration,
13 and this is actually, so again, our PI, our leader,
14 team lead, Sheyna Gifford, and then Mike Cairnie,
15 who's with MinXray.

16 So I have this little video. It's also
17 available on YouTube, and it basically shows, you
18 know, what happened and, again, MinXray put this up
19 just to help publicize what they had done at the
20 time and to demonstrate the diagnostic x-ray in a
21 zero gravity environment. So hopefully the video
22 comes across.

23 (Video Played)

24 DR. ADAM WANG: So here they are seated.

25 Strapped in on the flight, just to take a couple

1 test images. The hand radiograph. Their legs are
2 kind of kicking up because they're in the part of
3 the parabola that's zero gravity, and then as they
4 go into the next parabola, you can see they're
5 floating around. They have a little bit of help
6 positioning with a chest x-ray. Mike is tethered,
7 using the foot straps here just to anchor himself
8 and, of course, Sheyna had the assistance of the
9 crew to help obtain the chest x-ray.

10 They're still floating around. The
11 photographer. Here's another one.

12 So as you know, the x-ray image, itself, is
13 very fast and, you know, tried to optimize for speed
14 because to minimize motion. Each of these parabolic
15 flights, I think the flight has about 15 parabolas
16 and when you go to the top of it, you have about
17 thirty seconds of weightlessness or microgravity and
18 so they were, again, playing around acquiring
19 different images and just seeing, again, how well
20 things worked and what the images would look like.

21 So, and in fact, you know, this is the hand
22 x-ray and then for publication, just for fun, we put
23 it next to the Roentgen's famous first x-ray image
24 of his wife's hand.

25 Okay. So where actually I joined the project

1 is just to help with the quantitative assessment of
2 image quality. And so I think, as many of you are
3 familiar, what we like to do is acquire phantom or
4 test pattern images. And this was a phantom that
5 was brought on the parabolic flight. Just a
6 standard radiographic test pattern and under
7 different conditions.

8 So on the ground, before and after the flight,
9 sort of during a lunar one-sixth gravity part of the
10 flight, zero gravity while buckled to the seat and
11 zero gravity while unbuckled. And in the end, by
12 looking at the spatial resolution, the line pair
13 phantom and the contrast to noise ratio, these
14 different targets, we found that the image quality
15 was equivalent to no change to image quality. And
16 similar findings for the -- between having
17 radiologists look at the hand images. When they
18 were blinded, they didn't see a difference.

19 So that lead to our team publication in
20 Airspace Medicine and Human Performance, just
21 basically talking about the work that was done in
22 parabolic flight. So that was a couple years ago.

23 And so after this successful demonstration,
24 then we set our aims higher. And specifically, we
25 rebranded to SpaceXray because now we were thinking

1 about space. And there have been two main aims
2 here. One is just, again, diagnostic adequacy. So
3 to demonstrate that we can produce both
4 quantitatively and qualitatively, diagnostically
5 adequate radiographs during space flight and in that
6 environment. And so now one concern, potential
7 concern is during space flight, you're in a higher
8 radiation environment, just radiation in outer
9 space. So we want to compare radiographs of human
10 subjects and phantoms compared to ground reference
11 on earth.

12 The other thing that we, we wanted to be a part
13 of the project is to look at bone mineral density
14 quantification and see if we can evaluate a novel
15 method for assessing crew member bone mineral
16 density using these radiographs. And so the
17 reference here on earth is DXA, which is a standard
18 technique and requires a special piece of equipment
19 I think you guys are familiar with, but we wanted to
20 do this off of our radiographs.

21 So what are some potential hazards or issues?
22 Well, radiation does to the crew is a potential
23 concern. So we're going to make sure that crew
24 members, only the ones who are being imaged are
25 direct in the beam and then to minimize scatter

1 radiation through distance, if possible. And then
2 to use phantoms when possible for quantitative
3 analysis.

4 And then potential concern of dose to the
5 craft. It is a relatively small craft. But again,
6 most components are radiation hardened for space, so
7 we weren't too concerned about any radiation from
8 our imaging. And, and certain radio sensitive
9 equipment might be placed out of the path.

10 And so we did a quick study to see, you know,
11 in terms of radiation, how much radiation the crew
12 would receive. And based on our protocol, we were
13 anticipating a total of maybe 3.8mSv of radiation --
14 most of it in flight -- up to 2.4mSv, depending on
15 how many images were taken. And a couple of
16 preflight and postflight images as references. And,
17 of course, that's approximately annual background
18 radiation for -- in the U.S.

19 And what was interesting to me, at least not
20 being airspace medicine, was that the crew limit for
21 astronauts is 600mSv, so much higher than, than the
22 radiation from being part of this study.

23 So we were fortunate that we were selected to
24 be part of the Fram2 space flight. This is a recent
25 flight that launched out of Cape Canaveral. And

1 notably, this flight actually is the first human
2 space flight to earth's polar regions. So I, I
3 actually didn't know that. No previous flight had
4 actually gone over the earth's polar regions. So
5 this was the first to do so. And that's why it was
6 actually called Fram2, because the first men at the
7 North Pole in 1911, their ship was the Fram and so,
8 just following that. This crew of four individuals,
9 first to orbit the poles, and named Fram2.

10 So it was a private human space flight, all
11 civilian crew of four individuals and launched, you
12 know, just over a month ago. End of March. And,
13 you know, again, for us, we were one of 22
14 experiments that were selected to be part of the
15 mission. It was a pretty short flight. I think four
16 days in total. So they were pretty busy doing
17 different kinds of experiments.

18 And I bolded this, but key highlights include
19 capturing the first human x-ray in space and a
20 number of other experiments, including growing
21 mushrooms. But also things like glucose monitoring
22 and brain imaging here after landing to see any
23 changes.

24 So during the lunch, there was a broadcast and
25 we were very thrilled to have been part of the

1 discussion in terms of T minus 31 minutes and when
2 they were talking about the research projects, they
3 actually showed off some of our equipment here. So
4 this was not, of course, the equipment that actually
5 went up. This was another set because the equipment
6 was already on board T minus 31.

7 And so, it was very exciting to see actually
8 the next day, the following day, April 1st, this is
9 actually how we learned that it was successful,
10 through a tweet.

11 So on Twitter, one of the crew members actually
12 had this long post, you know, saying, okay. The
13 ride was simple but small, but the ride to orbit was
14 smoother than I anticipated. And then, you know,
15 the first few hours, I felt a little motion
16 sickness. After some rest, by the second morning, I
17 was refreshed. We had breakfast, took a few x-rays,
18 and then opened the -- they had a big viewing port
19 to look at the South Pole.

20 And then he posted a few pictures, including
21 this hand x-ray. So it was how we found out, sort
22 of a very casual post, but to us, we were very
23 excited to see that.

24 And then, just at the, again, a few days later
25 when they splashed down, there was another live feed

1 and they, during that, they again highlighted this
2 first successful x-ray, again, did the side by side
3 with the -- so first, of course, Roentgen's first
4 x-ray and then the first x-ray from space.

5 JAMES FUTCH: Dr. Wang, I have to ask so we can
6 document for prosperity. Whose hand is that?

7 DR. ADAM WANG: Yeah, so I can't say that. It
8 is one of the crew members but, yeah. Yeah.
9 Anyway. But, anyway. So, yeah.

10 But anyway, if anyone's interested, you can see
11 the, the tweet here. And I did share the slide, so
12 if anyone's interested in, in any of this material,
13 feel free to ask James or myself.

14 Okay. And then another tweet from one of the
15 other crew members showed off this chest x-ray in
16 space. Now, these were just using their phones to
17 take pictures of the x-rays as they appeared on the
18 laptop.

19 So the detector is wirelessly connected to a
20 laptop they had on board and that's how they were
21 able to view the images. And so this isn't the
22 image, itself, but just showing what was posted
23 publically. And again, everything I'm sharing today
24 has been shared publically. So this is the first
25 chest x-ray in space.

1 JAMES FUTCH: Dr. Wang?

2 DR. ADAM WANG: Yes.

3 JAMES FUTCH: I just wanted to ask, the
4 artifact, is that a dosimeter on the left lung?

5 DR. ADAM WANG: Yeah, so they're wearing what's
6 called a BioButton. I actually don't know what it
7 is, but it's a device that they're wearing, so it is
8 part of the, of the image, yeah, quite prominently,
9 but not a dosimeter. Some sort of BioButton. I'm
10 not sure what that device is.

11 JOSEPH DANEK: Can I ask a question, Dr. Wang?

12 JAMES FUTCH: Hold on a second.

13 JOSEPH DANEK: Dr. Wang, what altitude was this
14 orbit at and was it in gravity, not in gravity? I'm
15 assuming it was -- you were being in gravity while
16 doing this, but altitude and gravity effect, if any.

17 DR. ADAM WANG: Yeah. I forget the -- I mean,
18 they were definitely in orbital flight. Again,
19 going around the poles, North and South Poles. And
20 so this was, you know -- I mean, I couldn't quite
21 hear the question, but this was definitely in the,
22 you know, zero gravity as they were in flight.

23 JAMES FUTCH: I think the question was the
24 altitude.

25 DR. ADAM WANG: Oh, altitude.

1 JAMES FUTCH: ISS -- go ahead.

2 DR. ADAM WANG: I'd have to check that. I
3 actually don't know the answer to that.

4 Okay. So there is this video again that was
5 posted on Twitter by one of the crew, just showing
6 them, showing them acquiring the phantom image.

7 (Video Played)

8 DR. ADAM WANG: So I don't know if you could
9 see that and hear that clearly, but, basically, this
10 is the phantom that we sent on this mission, so --
11 whoops. So there's a phantom right here
12 (indicating); a basic radiography phantom. They
13 also put a Garmin smartwatch on the side and so,
14 again, there's four individuals here. So again, one
15 holding the laptop, one who held it and generator,
16 somebody with their phone taking pictures of this so
17 you can see in the video. So presumably, the fourth
18 is actually taking the video.

19 And, and again, I think they were all very
20 excited to see this. So this was -- so they did the
21 phantoms first before imaging themselves, so just to
22 make sure the equipment was functioning. So you can
23 hear they were excited and, you know, of course,
24 again, we're very excited that everything worked.

25 MARK SEDDON: Quick question. Is there a

1 reason why --

2 DR. ADAM WANG: Yes.

3 MARK SEDDON: -- they don't fix the tube in the
4 detector since they're in orbit? Is there a reason
5 for keeping it portable?

6 DR. ADAM WANG: Yeah. I think going forward,
7 that's something that we would probably try to do.
8 We didn't quite know what the cabin environment
9 would be like. I think they did their best to hold
10 things still. But definitely, if possible, you
11 know, to affix to walls or something.

12 The -- I think in this case, the phantom was
13 affixed to the detector using some velcro or
14 something, but -- and then when they were imaging
15 their hand or chest, just to -- at least for the
16 detector piece to, you know, place your hand against
17 it or hold the detector.

18 But, yeah. I mean, basically, like, within the
19 environment, to hold everything as still as
20 possible. But, yeah, if maybe in the future, there
21 was an option to just bound or clamp or something,
22 that would probably be preferred.

23 JAMES FUTCH: Dr. Wang, I have another
24 question.

25 DR. ADAM WANG: Yes.

1 JAMES FUTCH: The crew I know was all
2 volunteer. How much training did they have in, in
3 x-ray? I don't suppose any of them happened to be
4 an x-ray tech on the side?

5 DR. ADAM WANG: Yeah, no. Great question.
6 Yeah, none of them had, as far as I know, prior
7 experience with x-ray imaging. So there was
8 essentially one or two training sessions of an hour
9 or two familiarizing with the equipment and, and a
10 little bit of imaging beforehand. And so, we, you
11 know, part of the challenge was trying to make it as
12 easy to use as possible. Preprogramming different
13 stations for the generator. Having a clear protocol
14 for push this button, do this, do this, click this
15 button on the laptop to, you know, start the
16 acquisition.

17 So, yeah, that was one thing that we, you know,
18 had to anticipate and sort of, it was part of the
19 test in terms of, like, how easy is it to instruct
20 others to use equipment that they hadn't used
21 before. So, in fact, that's part of the study.

22 MARK SEDDON: Another question, if that's okay.

23 DR. ADAM WANG: Yes.

24 MARK SEDDON: So is this the first medical
25 x-ray or have you guys done -- have they performed

1 x-rays on materials in space as part of research,
2 like, you know, sub, you know, like cabinet type of
3 set up?

4 DR. ADAM WANG: Yeah. So we say we're the
5 first medical because I believe it has been done
6 for, again, nonmedical purposes. So I'm not too
7 clear on exactly, you know, how and what so, you
8 know, what equipment and what exactly.

9 I think certainly radiating and just the effect
10 of space -- of radiation has been done. So -- but
11 actually, I'm not even sure if that would've been an
12 image. So, so, yeah, but we -- it is clear that
13 this is the first medical -- not this particular
14 phantom, but with the hand and other human imaging.

15 MARK SEDDON: Thank you.

16 JAMES FUTCH: Doc, I think we have an answer to
17 the question of the altitude thanks to Mr. Kruger.

18 DR. ADAM WANG: Okay.

19 JAMES FUTCH: It looks like the orbit was 126
20 miles at its closest approach and 257 at its
21 farthest approach. So they're orbiting, like, every
22 93 minutes. And for comparison, the ISS is 250
23 miles and I think about a 90-minute orbit. So
24 pretty close to ISS.

25 DR. ADAM WANG: Okay. Okay. So beyond just

1 the standard radiographs, again, one thing of
2 interest for us is essentially bone loss during
3 flight. And so -- space flight. Because they're in
4 this microgravity environment, they're going to
5 lose -- astronauts, it's known they lose one to
6 one-and-a-half percent bone mass per month in space.
7 And for comparison, elderly individuals lose about
8 that per year. So it's estimated that if you're to
9 do a three-year space flight to Mars, a third of the
10 astronauts would be at risk for osteoporosis.

11 So the concern is if you land on Mars, which
12 has about .4G gravity, then you might have a hip
13 fracture. And so, you know, there are things you
14 can do to prevent that. You can exercise. So this
15 is actually Suni Williams. And so, exercise on a
16 treadmill. You can put these straps to load your
17 weight-bearing bones and you can take supplements.

18 What can be done is measuring net calcium loss.
19 Just measuring it through, for example, in the
20 urine, but that doesn't help you localize where it
21 is. So if you're, for example, at risk for hip
22 fracture or other fracture.

23 And so the, the gold standard here is DXA,
24 which uses dual-energy x-rays, and you can
25 quantitatively measure aerial bone marrow density,

1 so these are in the hip and lumbar spine. So DXA
2 measurements. And it uses a scanner like this.
3 This is from Hologic. This is actually what we have
4 clinically and this is a phantom, so with some
5 vertebrae in there.

6 So again, this is done routinely for
7 osteoporosis screening. And, of course, we wouldn't
8 fly dedicated equipment like this, but our hope is
9 that from a dual-energy radiograph, that we can
10 actually capture similar information.

11 So the KA Imaging panel that we selected was
12 actually -- it's unique because it actually has
13 three detectors sandwiched together all within the
14 same, very compact detector. So again, the whole
15 thing together was a pound and it's still very thin.
16 Just as thin as any other standard detector, because
17 each of these layers is very thin.

18 But what you can do with these three detector
19 layers is actually get the spectral information from
20 the x-rays, which gives you dual-energy information.
21 And so, what KA actually has, this is actually part
22 of their FDA cleared product, is they can create --
23 they can combine those three images to create your
24 traditional image, still create your regular x-ray
25 image. But they can use that spectral information

1 to create a soft tissue image where you use that
2 spectral information to virtually remove the bone,
3 and so you just look at the soft tissue or vice
4 versa and create a bone image where you virtually
5 remove the soft tissue.

6 Now, what they have here is more qualitative.
7 So, you know, again, you can look at the different
8 tissues, but to get to true quantification is still
9 actually an open question and quite challenging to,
10 to get the accuracy that you would want. So this is
11 something that's ongoing and that we're very
12 interested in. Can it be used for bone density
13 quantification.

14 So actually with that, I'm just going to wrap
15 up my presentation and just to say that, you know,
16 it's still early days. We, again, just very
17 recently in the past month, successfully
18 demonstrated the use of portable radiography
19 equipment in the orbital flight. There's more to
20 come. We're working on a journal manuscript where
21 we're going to publish the details of the work that
22 was done and then the DXA analysis is actually
23 ongoing.

24 So, yeah. I'm happy to answer any questions
25 now. And I have my contact information at the

1 bottom if anyone would like to reach me.

2 JAMES FUTCH: Dr. Wang, do you know what
3 journal it's going to be in?

4 DR. ADAM WANG: Um, we don't know yet. There
5 is a journal in, one of the nature journals called
6 Microgravity, so that's what we're targeting.

7 I have to admit the whole field of aerospace
8 medicine is new to me, so I've learned about that
9 being part of this project. And so, I don't know
10 the different journals that well. But so the first,
11 our parabolic flight went to Aerospace Medicine and
12 Human Performance. But I understand that Nature
13 Microgravity is another journal in this community.

14 JAMES FUTCH: Do you know, is there any
15 interest, since this was on a Space X flight that
16 was one of the private ones, is there interest from
17 Space X or NASA to use this or help develop this,
18 especially on the NASA side?

19 DR. ADAM WANG: Yeah. Absolutely. I think
20 NASA is very interested. So, you know, as a team,
21 we've been talking to them. Now that we've
22 demonstrated it, I think we, you know, potentially
23 we could share what we've learned. And again, you
24 know, thinking about longer flights. And so NASA,
25 as far as I know, is quite interested in this work

1 and would be interested in similar things.

2 CLARK ELDREDGE: Dr. Wang, is there -- the
3 actual sensor, itself, is there a grid in that or
4 not, do you know?

5 DR. ADAM WANG: No grid on the -- for the
6 detector, yeah. In fact, we made that choice.
7 Well, so it's not built in, and you know, it
8 would've been an option to include one. But we made
9 the choice not to include one because of just the
10 challenges of, in case there's a cut off of the
11 artifacts associated with that.

12 CLARK ELDREDGE: Well, when you work on the
13 angle, any angled instance question, you know, study
14 to see what kind of -- any issues with that? You
15 know, what the problem, being off normal?

16 DR. ADAM WANG: Yeah. Well, so admittedly, we
17 didn't look at it extensively. We sort of made a
18 decision early on we wouldn't go with the grid. I
19 think it is a portable detector and generally, like,
20 portables are, to me at least in my experience,
21 portables are used without grid. You know,
22 certainly if it were fixed in a system, then to
23 improve image quality, a grid would be valuable, but
24 we felt like for the first technical demonstration,
25 that it wasn't critical. Maybe in the future maybe

1 that's something to improve image quality and
2 actually would help with the dual-energy imaging as
3 well.

4 The main challenge for the dual energy is just
5 to get, get accurate quantification is scatter.
6 Scatter is one of the main challenges.

7 CLARK ELDREDGE: And since you were, you were
8 above the Van Allen belts at this point, but did you
9 see any -- were you able to quantify any increase in
10 background noise on the imaging because of the
11 increased elevation?

12 DR. ADAM WANG: Yeah. So not visibly. Not
13 anything that we could visibly see. But it's
14 interesting. When I look at the phantom images and
15 try to measure the noise level, I do see a slightly
16 increased noise level, like, on the order of ten
17 percent. So that's something I'm going to look a
18 little more closely at and if that does remain the
19 case, I think it's worth including, you know, in our
20 manuscript in terms of a finding potentially due to
21 the background radiation.

22 LISA GAVATHAS: I have a couple of questions.
23 Did you have to retrofit any of the equipment to be
24 used in space?

25 DR. ADAM WANG: Great question. So

1 essentially, no. The equipment was just used off
2 the shelf. As a commercial off-the-shelf equipment.
3 We -- so there's no modifications. We just made
4 sure everything was set up to be used and ready to
5 use.

6 Again, the equipment is already -- it's quite
7 appropriate for this environment because, you know,
8 again, it's already battery powered and wireless.
9 It's already been recognized. So, yeah.
10 Essentially, just using it off the shelf as is.

11 LISA GAVATHAS: Okay. And another question.
12 Is there anything that you expect to learn from
13 x-rays in space that could be possibly used on
14 earth?

15 DR. ADAM WANG: Yeah. Well, I think the, for
16 example, the bone marrow density is actually
17 something that would be very useful here on earth as
18 well. So you know, ironically, we're actually
19 trying it out for -- for not the first time, but
20 early on, still this is one of the earliest trials
21 of this technology. So I'm doing it in space rather
22 than fully having, you know, it's still a work in
23 progress even here on earth. So that's something
24 that I'm quite excited about.

25 And I think, you know, there are some

1 differences in physiology in space, so that's
2 something that we'll keep an eye on. And then
3 again, just thinking about how to make the equipment
4 more accessible and easier to use and user friendly.
5 That helps here on earth as well as, you know, maybe
6 gets into the hands of those who aren't trained
7 medical experts, radiographers and such.

8 LISA GAVATHAS: I do have one more question.
9 Since you were out of our regulatory control, did,
10 did NASA put any stipulations on use on base, or did
11 they have anything to say regulatory wise?

12 DR. ADAM WANG: Yeah. So maybe just to give a
13 little background. Sheyna had reached out to Lisa
14 about, inquiring about, you know, what is the
15 regulatory framework for us, since the equipment did
16 launch out of Cape Canaveral in Florida. But -- and
17 so, it was determined that at least we're not within
18 state's jurisdiction. And I recall that when we
19 followed up, it wasn't -- it wasn't in -- somehow it
20 wasn't in federal jurisdiction, either.

21 So in the end, to be honest, I don't know that
22 there was any direct oversight by -- I mean, this is
23 a unique case, but I don't recall that there was
24 direct oversight, either, from the state or federal
25 side.

1 JAMES FUTCH: Anybody else have any questions?

2 Well, I, I would like to say thank you very
3 much. We very much enjoyed this. I think the
4 citizens of Florida, especially the folks interested
5 in medical x-rays, will enjoy looking at this and
6 since we were recording it, actually playing it
7 back.

8 Whatever the next step is for you and the team,
9 you are always welcome. Just let us know. We do
10 this twice a year. In fact, we --

11 DR. ADAM WANG: Sure. Yeah.

12 JAMES FUTCH: -- in the fall, I think, I hope
13 to have the radiation safety officer for Kennedy
14 Space Center come give a talk about use of radiation
15 on the facility and what they do. So if you have
16 any questions, just pass them along; we'll ask them
17 for you.

18 DR. ADAM WANG: Yeah, that sounds great. Yeah.
19 Thank you for the opportunity to share.

20 JAMES FUTCH: All right. We'll say goodbye and
21 give him a thank you.

22 (Applause)

23 KATHLEEN DROTAR: Thank you.

24 JAMES FUTCH: Take care.

25 KATHLEEN DROTAR: Quite interesting.

1 MARK SEDDON: Very interesting.

2 KATHLEEN DROTAR: You asked my question.

3 MARK SEDDON: Huh?

4 KATHLEEN DROTAR: You asked my question. Thank
5 you.

6 MARK SEDDON: What was that?

7 KATHLEEN DROTAR: About a flashpoint. The
8 first one you did ask.

9 MARK SEDDON: About fixing the --

10 KATHLEEN DROTAR: Yeah.

11 CLARK ELDREDGE: Yeah, that was one of my --

12 MARK SEDDON: Yeah, it seems like it should be
13 easier to do that. Maybe they have to package in
14 like a cushioning to launch so it doesn't get
15 damaged.

16 KATHLEEN DROTAR: I think they would have to
17 recess it.

18 CHANTEL CORBETT: Wouldn't it limit the amount
19 of angles in an image, though? At that point, the
20 way they were doing it there, you can manipulate the
21 person and the detector.

22 KATHLEEN DROTAR: You almost have to have a
23 swivel head on there.

24 MARK SEDDON: Yeah. You can mount it at, like
25 normal.

1 KATHLEEN DROTAR: Yeah.

2 CHANTEL CORBETT: There's obviously gravity
3 changes. You can have the person in different
4 angles as well.

5 MARK SEDDON: Yeah, you can have different --

6 KATHLEEN DROTAR: I would think.

7 CLARK ELDREDGE: There's an opportunity for
8 very unique positioning.

9 ALBERT TINEO: I believe so.

10 CHANTEL CORBETT: Just being able to stabilize
11 the person.

12 CLARK ELDREDGE: Yeah, yeah.

13 MARK SEDDON: I'm curious if they ever had,
14 like, a broken bone in space. I'm not sure if
15 that's ever happened. And, you know, the utility
16 for doing x-rays and how does the healing, they can
17 track healing. That would be something -- I know in
18 zero gravity, the body healing mechanisms change.

19 CHANTEL CORBETT: I mean, if that person has
20 bone loss. The people got stuck up there recently.

21 MARK SEDDON: That's true.

22 CHANTEL CORBETT: That's significant.

23 JAMES FUTCH: Well, I -- we have some things to
24 move on to. I just wanted to, while we were talking,
25 we had a gentleman come in from outside. I'm not

1 sure you want to -- I just want to let you know,
2 we're recording everything, if you are okay with it.
3 Are you attending as a member of the public today?

4 SEAN WILSON: I am, yes.

5 JAMES FUTCH: Okay. Go ahead.

6 SEAN WILSON: Sean Wilson. I'm a diagnostic
7 medical physicist and licensed radiation safety
8 officer with the State of Florida. I work with West
9 Physics.

10 MARK SEDDON: Welcome, Sean.

11 CLARK ELDREDGE: The folks have joined. Do you
12 want to introduce yourself?

13 ADAM WEAVER: I came in late today, sorry.

14 Adam Weaver, USF radiation safety officer.

15 NICHOLAS PLAXTON: Nicholas Plaxton. I'm a
16 nuclear medicine physician at Bay Pines VA.

17 MARK SEDDON: Right. I think we're off --

18 CLARK ELDREDGE: One thing. There was one
19 piece of administrative trivia, so to speak, that we
20 have. It wasn't mentioned yet. Because there was
21 an issue with the noticing of this meeting, we did
22 not -- there can be no official motions or votes
23 from the committee.

24 KATHLEEN DROTAR: Okay.

25 MARK SEDDON: That's why we didn't approve the

1 minutes, if you noticed that.

2 JAMES FUTCH: Well, we brought them if anybody
3 wants to read them.

4 MARK SEDDON: You can read them, but they're
5 not official minutes. So they're still draft
6 minutes on the website.

7 JAMES FUTCH: So that's a first, I am happy to
8 say, that I've ever experienced in the 27 years that
9 I've been leading this council. So it's rare. We
10 apologize. Somewhere between the legal folks and
11 the downtown folks, there was some sort of --

12 ADAM WEAVER: James, do you allow electronic,
13 like, e-mail vote to approve minutes?

14 JAMES FUTCH: The --

15 ADAM WEAVER: Or does it have to be in person?

16 JAMES FUTCH: It has to be in person. The
17 bylaws do not provide for that.

18 ADAM WEAVER: All right. Just curious.

19 MARK SEDDON: Are there anymore lunch --

20 JAMES FUTCH: Oh, yeah. Anymore lunches?
21 Anybody who is going to be eating at the Luna Cafe',
22 fill out your menu. Speak now or forever eat
23 somewhere else.

24 CLARK ELDREDGE: Okay. I guess we'll move on.
25 Shall we move on to the Bureau update?

1 MARK SEDDON: Yeah, move on to Bureau updates.

2 CLARK ELDREDGE: Reporting on the Bureau of
3 Radiation Control.

4 So, oddly, for once, for about three weeks, in
5 how many years, we were actually almost fully
6 staffed. We're, you know, 96 percent of our folks,
7 you know. The three vacancies were all technical
8 staff in Tallahassee. So one for James, one for
9 Lisa and one for Kevin. And of course, that did, as
10 I said, that only lasted about three weeks before we
11 had other people vacate.

12 For on the legislative side, we'll talk one and
13 upcoming up in the emerging issues, but another
14 short one, there was House Bills 6011, HB6011,
15 HB6035 and SB340 about international health
16 organization policies. And that bill was to amend
17 Statute 381.00322, which allows government entities
18 and educational institutions to adopt, implement and
19 enforce international health organizations policies
20 and guidelines or requires for them to -- they can
21 only do that if it's authorized under state law,
22 rule or executive order.

23 The bill would've eliminated those options so
24 that no state agency or educational institution
25 would've been able to adopt or implement any sort of

1 international health organization guidelines or
2 policies.

3 JAMES FUTCH: That was me, sorry.

4 CLARK ELDREDGE: Which runs into the issue, of
5 course, that, you know, ICRP, is that an
6 international health organization? And radiation
7 protection and medical delivery, we certainly use
8 dose coefficients and other standards from ICRP and
9 so that was part of our analysis that we submitted
10 up about the fact that what would that have done to
11 health care delivery and things like that from the
12 university, the state college medical facilities;
13 things like that. Because they wouldn't have been
14 able to use certain things like that if this bill
15 went through.

16 Again, there's no definition about what an
17 international health organization was, but we know
18 there are plenty out there that could've impacted
19 all sorts of health care services and things like
20 that.

21 CHANTEL CORBETT: So that would have impacted
22 what's already in place, not just going forward?

23 CLARK ELDREDGE: Yes, it would have eliminated
24 any --

25 ADAM WEAVER: Current.

1 CLARK ELDREDGE: So, you know. What we
2 would've had to do if we had to go recreate all of
3 ICRP research in Florida to be able to readopt it.

4 CHANTEL CORBETT: It would be a while.

5 CLARK ELDREDGE: Yeah.

6 ADAM WEAVER: That would be quite an
7 undertaking, yes.

8 CLARK ELDREDGE: Now, while they were all
9 filed, heard, initial ratings, referred to
10 committees, none of them were heard in committees,
11 so they didn't go very far.

12 KATHLEEN DROTAR: Good.

13 CLARK ELDREDGE: For our environmental group
14 out of Orlando, something impacting them is Crystal
15 River Power Plant's decommissioning has reached the
16 point the environmental sampling will be
17 significantly reduced, which is a source of funding
18 for our field folks. It's going, dropping 90
19 percent or so because, you know, all the fuel's now
20 on site; dry cast. You know, most of the waste has
21 been carted off or other waste has been carted off
22 for deposit. In fact, I think we got, what was the
23 billing? A hundred something thousand in one
24 quarter for low-level waste inspections done
25 basically by 1 FTE. So we have a state law where we

1 go out and inspect all shipments and review and make
2 sure they're packed properly for low-grade
3 shipments. So that was quite a quarter for that,
4 for the folks going and doing that.

5 ADAM WEAVER: That's a lot of shipments.

6 CLARK ELDREDGE: Mm-hmm. What else? So that's
7 basically the crux of what I had for the Bureau
8 update.

9 MARK SEDDON: All right. Thank you, Clark. Do
10 we want to have Kevin give his part, or do you want
11 to start with the in between folks. James?

12 JAMES FUTCH: Let's ask them. Evalee, I see
13 you're on. Is Dayle also on yet?

14 EVALEE TAYLOR: Good morning. I'm not sure.
15 Let me doublecheck and make sure.

16 JAMES FUTCH: That's okay if she's not. We're
17 early for her to start, so we're going to go ahead
18 and go on with the next regular business while we
19 wait. Okay?

20 EVALEE TAYLOR: Okay, perfect.

21 JAMES FUTCH: All right.

22 MARK SEDDON: All right. So we'll jump over to
23 Kevin to give the materials update.

24 KEVIN KUNDER: So radioactive materials,
25 Charles Hamilton's vacant position since last June

1 he left and went over to the x-ray program. I
2 finally got a candidate selected. He'll start May
3 23rd. He's a recent graduate of FSU's Master's
4 program in nuclear physics.

5 We also recently started a second contract
6 position, so those who have RAM licenses will see
7 the name Julia McRoberts on some of them. She used
8 to work in Oklahoma as a fully certified licensed
9 evaluator. She's also helped out in Mississippi's
10 program, helped out in South Carolina's program and
11 she's going to be working 20 hours until, 20 hours a
12 week until the beginning of July and she'll go to 40
13 hours a week. So she's, she's really helping us get
14 caught back up.

15 Rule making, still in progress. Previously
16 mentioned, Department of Health Office of General
17 Counsel's Office returned the entire rules package
18 that we had together since 2019 and requested us to
19 rework it and send it back in individual parts to
20 them. So parts one and two have been sent to legal
21 and we're working on part six now. So no estimates
22 on when that's expected to --

23 CLARK ELDREDGE: We did get the notice for the
24 part one. It's actually been noticed in --

25 KEVIN KUNDER: Okay.

1 CLARK ELDREDGE: That was yesterday. It's been
2 published in the administrative weekly.

3 KEVIN KUNDER: That's good. Baby steps.
4 Statistics, last month we had 1507 specific
5 licenses; 222 general licenses. That's a total of
6 1729. We continue to average about 200 licensing
7 actions a month and close to 80 RAM inspections a
8 month that we process due to compliance and
9 violations letters out to the licensees.

10 General, or GL, general license invoices were
11 mailed out May 1 and they're due back on or before
12 July 1st. Also, Section 17.20 Florida Statutes
13 requires each agency to assign delinquent accounts
14 to a contracted debt collection agency within 120
15 days after the dates the accounts are due, so for
16 the fiscal year ending June 30th, we've already sent
17 over 31,000 in delinquent accounts to Transworld.
18 That's just for the materials program, to Transworld
19 Systems, Inc. collection agency for which they've
20 recovered almost half, just over 15,000. So we've
21 still got about 16,000 currently outstanding for
22 just this year.

23 Four medical events since the last meeting.
24 The first was a Y-90 ThereSphere administration. In
25 June of last year, interventional and MAA mapping

1 was completed. Entire liver was found to be needing
2 treatment. In July, the right hepatic artery was
3 injected without issue. The patient came back in
4 September and had the left hepatic artery injected.
5 Post-treatment imaging showed uptake in the antrum
6 of the stomach, which was later estimated at 75
7 percent of the dose or 97Gy to that part of the
8 stomach.

9 The following day the patient was treated for
10 radiation toxicity to the stomach and stayed in the
11 hospital for about three weeks. Patient returned
12 ten days later and subsequently received a partial
13 distal gastrectomy. Findings were the authorized
14 user's failure to visualize the right gastric artery
15 on the pre-infusion angiogram.

16 Additionally, the site is using recommendations
17 from an international multidisciplinary working
18 group that, that recommended for this particular
19 patient, which was a multifocal bilobar
20 hepatocellular carcinoma without macro-vascular
21 invasion to inject the MAA in the lobe of the higher
22 tumor burden only. So the MAA was only injected in
23 one side.

24 Other contributing factors are the patient had
25 prior surgeries, including small bowel carcinoid

1 treatment at another facility with small bowel,
2 liver and omental mass resections. Because of these
3 surgeries in the liver and abdomen, along with some
4 unusual anatomy, it made mapping more challenging.

5 And finally, the tip of the catheter was pulled
6 back about five to ten millimeters on the Y-90
7 versus the mapping.

8 The second and third medical events were the
9 same day same facility. HDR for prostate cancer. A
10 week prior, both patients had their first of two
11 fractions completed. The site used, used to use
12 two different length catheters, 240 millimeter and
13 290 millimeter. However, discontinued the 240. On
14 this particular day, the last day of the, of the
15 treatment, the second of the two fractions, when the
16 treating AMP entered the lengths into the treatment
17 plan system, he entered them as 1240 millimeters,
18 which is the one millimeter transfer tube plus the
19 240 millimeter catheter length and they were using
20 the 290.

21 This happened with both patients that day. The
22 full dose was delivered 50 millimeters short into
23 the perineum. Both patients had to be brought back
24 the following week to re-administer the second
25 fraction.

1 The fourth medical event was the use of an
2 expired Cardiogen generator for about 22 days. The
3 site is on a four-week generator swap. The nuclear
4 medicine tech removed the old generator from the
5 delivery system and placed it back in the storage
6 room in an open box. He opened the new box and took
7 out the paperwork and there's still a certain number
8 of the facilities that don't have the saline bag bar
9 code reader that can actually read the bar code on
10 the generator. So they took out the documents and
11 typed in all the information into the delivery
12 system, which basically resets it to think it's a
13 new generator in there.

14 He went back in the room, saw two open boxes,
15 grabbed the old one, reinstalled the old one. There
16 was an error about a failure to reach the
17 appropriate activity. He called another nuclear
18 medicine tech over that was a little more familiar
19 with it to help troubleshoot. The first thing she
20 asked him was, are you sure you inserted the new
21 generator? He said, of course I did. There's a new
22 generator in there. She looked and found he forgot
23 to unclamp the tubing from the saline bag. It was
24 unclamped, reset and tried again. This time there
25 was no error.

1 She doublechecked the outgoing box and found it
2 had higher activity than she expected. And she just
3 assumed the time that he had the meter set to
4 different, different range. So she thought that was
5 a mistake and didn't say anything.

6 Since the site had a newer PET CT scan, the
7 images looked fine and it wasn't until the 22nd day
8 after expiration that the system error-ed that the
9 patient's two doses were greater than 20 percent
10 less than what was programmed in there to infuse.

11 Rule making. Those in the medical, especially
12 nuclear medicine, know there's been NRC rule making
13 on reporting extravasations for medical events. A
14 couple years ago, there was a group that had put
15 forth a bill to Congress that wanted clarification
16 on directing, basically, the NRC providers to report
17 extravasations during PET and SPECT scans as
18 mistakes. So it has reemerged again for this year,
19 2025.

20 The NRC has already begun to revise language in
21 its regulations regarding reporting diagnostic
22 nuclear medicine extravasations, which have been
23 exempt since 1980, yet the Commission decided not to
24 include the 0.5Sv tissue dose threshold in ongoing
25 considerations. So we'll see where that goes.

1 Nothing's happened yet since it was put forth April
2 1st or April 2nd.

3 Final note. I just wanted to bring here, we're
4 seeing more of this. Dose splitting. We've had a
5 few incidents, investigations recently that found
6 doses used were being split between two patients as
7 well as several other concerns, technologists
8 calling in asking if splitting doses is okay. The
9 answer can be yes or no depending on three things.

10 One, if the facility has an ISO Class 5 Primary
11 Engineering Controls within a Segregated Rate of
12 Pharmaceutical Preparation area.

13 Two, they have submitted procedures for
14 multi-dose vial use including following the USP
15 General Chapter 825 Radiopharmaceuticals.

16 And the third is if their radio pharmacy
17 contract allows it.

18 So just note that receiving a unit dose
19 preparation and splitting into two separate doses
20 may only be performed in the ISO Class 5 Primary
21 Engineering Control. That's part of the
22 Pharmacopeia USP Chapter 825 that came out in, I
23 forget, it was the last couple years. I think it
24 was December of 2020 is when it came out. So that's
25 what's required.

1 And that's all I got. Any questions?

2 MARK SEDDON: I think we're good then. Right?

3 All right. Thank you, Kevin.

4 JAMES FUTCH: Thank you, Kevin. Sorry, Evalee,
5 I didn't realize you couldn't probably hear much at
6 all without the microphone. Can you hear us okay
7 now?

8 DAYLE MOONEY: We can, and actually, we could,
9 we could hear that presentation very well. Thank
10 you.

11 JAMES FUTCH: Oh, really? Great. Somebody
12 must have had a mic on somewhere over there. So let
13 me, with the Chair's permission, move on to the next
14 one.

15 MARK SEDDON: Yes, we can move on to the next
16 one.

17 JAMES FUTCH: So we have two guests with us
18 from Tallahassee, I'm assuming, it looks like. We
19 have Dayle Mooney, who's the executive director of
20 the Board of Chiro and several other board offices,
21 including radiologic technologist licensure and also
22 EMTs and paramedics.

23 And with her is her programs operations
24 administrator, Miss Evalee Taylor, who is part of a
25 new role inside the board office that Dayle, I'm

1 sure, will tell us about, which will have her
2 playing a much greater role for the radiologic
3 technologists.

4 And we have here in the room with us the normal
5 amount of Council folks. Hopefully you can see some
6 of them. We have two microphones, so if folks want
7 to ask questions, we'll be sharing those with -- so
8 that they can hear us better. And I'll turn it over
9 to you, Dayle, and take it away.

10 DAYLE MOONEY: Thank you so much. First of
11 all, I'd just like to say thank very much for
12 including us in the meeting today. I know this has
13 been something that we have tried to coordinate and
14 based off of scheduling conflicts at past meetings,
15 it hasn't necessarily been successful, so I'm really
16 glad that we're able to join you all today.

17 As James said, my name is Dayle Mooney. I'm
18 the executive director for a multi-profession board
19 office that includes chiropractic physicians,
20 optometrists, nursing home administrators, clinical
21 laboratory personnel, medical physicists, EMTs,
22 paramedics and last, but certainly not least,
23 radiation technology.

24 We have done some pretty extensive
25 reorganization within our office over the past six

1 months or so in order to meet what we think is an
2 increasing need or dedicated staff that specializes
3 in radiation technology applications. We're happy
4 to say that we accomplished that by moving some of
5 our most senior processors into those roles. These
6 are individuals that already had experience working
7 radiation technology but had other duties that were
8 assigned and we reassigned those duties away so that
9 they were able to solely focus on that.

10 We also moved the program under the direction
11 of the program operations administrator, which is
12 now a role that's filled by Miss Taylor and -- so
13 that we could have a higher level of management
14 overseeing the implementation of radiation
15 technology and making sure that we are really
16 staying current and coordinating really well with
17 our policy office.

18 So we think that we're already going to be
19 seeing some improvements in our processes. As I'm
20 sure that you guys are all aware, that licensure
21 processes is ever involving. There are changes to
22 that based off of laws that may or may not impact.
23 There's also improvements based off of feedback that
24 we're getting from applicants and I know that it
25 might have felt a little stale in the past that we

1 have -- that your concerns hadn't necessarily fallen
2 into action and we think -- well, we hope that
3 you're gonna see a lot more of that in the very near
4 future and have some things that we've already done
5 in order to answer those needs.

6 I'm gonna let Evalee do a lot of the next
7 presentation. She has really kind of jumped in with
8 both feet and gotten very connected with this
9 program in the short amount of time that she's been
10 there. I'm really proud of the work that she's
11 already managed to accomplish and some of the things
12 that were really getting off the ground. And I
13 think that she, and hopefully James will agree, that
14 we're integrating nicely together and starting to
15 create a good line of communication so that we can
16 really get things moving forward.

17 So with that, I'm going to turn it to Evalee,
18 and then we can take questions at any point, so if
19 you guys want to jump in and ask us questions, then
20 we can do that. Evalee's got some data for you, as
21 well as some feedback on what kind of our
22 perspective is from the certification office on kind
23 of the state of affairs at present.

24 EVALEE TAYLOR: Thank you so much for the
25 opportunity to be a part of this meeting. As Dayle

1 said, I have prepared some data. James and I and
2 Giovanna and Kelly, we meet most Wednesdays and we
3 discuss some of the data that you guys may be
4 interested in hearing. And then also, James made a
5 suggestion to kind of give you guys an idea of the
6 nuts and bolts of applying for an application -- you
7 know, applying for certification and the process and
8 how that works. So my thought on that was to kind
9 of get an example of the life of an application, so
10 I will start with the data first.

11 And currently, we have a total of 594 open,
12 valid applications. Meaning applications are only
13 valid for six months, so these are all applications
14 that have been submitted since November 1st. So I'm
15 giving, like, a two-week movement there,
16 flexibility. But a total of 594 open applications.

17 And then some of our current, like right now
18 we have -- our total number of clear, active license
19 are 30,623. And as you guys are aware, renewals
20 have been based on birthdates, so we are in constant
21 renewal of all 30,000 of these licenses. And then
22 some of our data is based on fiscal year 24-25 and I
23 split it up into some of the quarters, especially
24 quarter three which, of course, we've just finished
25 out two months ago, just to kind of give the most

1 updated information.

2 And to start with, for fiscal year 24-25, for
3 the first quarter, we had a total of 707
4 applications. For the second quarter, a total of
5 548; and then for the third quarter, the most recent
6 quarter, 863. For a three-quarter total of 2,118
7 incoming applications.

8 JAMES FUTCH: Evalee, can I interrupt you just
9 a second? We've got the court reporter in the room.
10 She's trying to follow along.

11 Rita, what were you --

12 THE COURT REPORTER: Have her sit closer to the
13 mic.

14 EVELYN: I can also send you, James, I can send
15 this to you, so that way if she wanted to have the
16 most accurate version of the numbers I'm saying, I
17 can do that if that's clearer.

18 JAMES FUTCH: Okay. Are you able to share from
19 where you're at or is that too hard?

20 THE COURT REPORTER: Or have her sit closer to
21 the mic. She's kind of muffled.

22 JAMES FUTCH: Yeah, there's a little bit of a
23 tinny -- maybe if you sit a little closer to the
24 laptop if you're working off the laptop. And also,
25 Rita, I've got recording this whole thing.

1 EVALEE TAYLOR: Sorry. Here we go. I do
2 have -- so this is the data that I'm going over.
3 And then for quarter three, there -- a lot of this
4 will look like foreign language, but the numbers are
5 really the most important. And for the average day
6 to issue a license from the application date. So
7 this doesn't necessarily mean how long it took us to
8 process a document. This is from the day that they
9 apply to the day that we issue the license. The
10 average day is 41.13 days.

11 For the average to process an initial
12 application, meaning the application is submitted
13 and a processor from the office reviews the
14 application and all the supporting documents for the
15 first time, the average is 11 days. And there have
16 been a total of 897 from, the original 897 from
17 January 1st through March 31st.

18 DAYLE MOONEY: Evalee?

19 EVALEE TAYLOR: Yes.

20 DAYLE MOONEY: Can I just -- I want to clarify
21 one thing on the M1 data, the 41 days. So -- and I
22 think it's important to notate here, our
23 calculations are based off of the first time an
24 application is submitted into our system to the date
25 that it is ultimately approved, inclusive of all

1 applications in this timeframe. This data also
2 includes things like when an applicant submits an
3 application but does not pay. And so then they
4 might come back in a week later and pay for that.
5 So their first -- if that application, if that
6 ultimately is approved, then it is included in our
7 calculations here. So 41.13 is an average, but
8 there are a lot of outliers within that that's gonna
9 bring us down to that 41.

10 Our performance goal is, you know, we try and
11 keep that under 49 days, but that's for all
12 professions. I think that Evalee and I would like
13 to see that number a little lower than, than the
14 41.1. And I will say that it is trending down. And
15 when we get together next, we'll have some trend
16 data for you, but we've done a lot of data cleanup
17 lately and did not feel confident in previous data
18 to give you an accurate trend, so we'll, we'll get
19 that ready for you for the next time.

20 But I wanted to just clarify. These numbers
21 are good numbers for us, but they can also be
22 misleading. It is definitely not typical for it to
23 take up to 41 days for your -- for an applicant that
24 applies online, pays and has a complete application.

25 EVALLEE TAYLOR: Right. So the other dates that

1 are all the 11 and the 2.54 and the two dates, those
2 are ones complete or ones -- so a lot of times that
3 41.13 is just that it stays efficient. We didn't
4 have -- it wasn't a complete application. So, so
5 that total, that average is different than the three
6 averages underneath them. The three averages
7 underneath those are based on our processing rate,
8 not just how long it takes an applicant to get their
9 application file complete.

10 So the 2.54 days and the two business days,
11 that's how long that the average, during the third
12 quarter, that it took our processors to approve or
13 deny applications once complete. So I think that
14 this data can show that while sometimes it can take
15 a while for an application to be complete and
16 approved, it's not necessarily on the processor side
17 of it, because our data shows that we're, we're
18 processing in less than three days and approving in
19 less than three days once complete.

20 JAMES FUTCH: So Evalee, just to make sure I
21 understand because I'm getting some questions from
22 the room around me. The quarter three is basically
23 January 1 through the end of March. That's what
24 we're talking about?

25 EVALLEE TAYLOR: Yes. Yes.

1 JAMES FUTCH: Okay. And these are just the
2 applications that will be considered to be not
3 expired by statute. So basically, the ones that
4 we've received, looks like, since November 1st,
5 2024.

6 EVALEE TAYLOR: Yes.

7 JAMES FUTCH: Okay.

8 EVALEE TAYLOR: The total apps that are open
9 currently. I used that as the date, even though
10 that first --

11 JAMES FUTCH: Right.

12 EVALEE TAYLOR: -- twelve days of November, if
13 they submitted it then, would be considered expired
14 at this point. I wanted to give some freedom, some
15 flexibility there because sometimes, there will be a
16 few outliers that may not be completely expired.
17 You know, maybe they submitted their document three
18 days before their application expired and we just
19 haven't had a chance to process it yet. So I
20 included a few extras --

21 JAMES FUTCH: Sure.

22 EVALEE TAYLOR: -- based on that.

23 JAMES FUTCH: And to that --

24 EVALEE TAYLOR: It's pretty close.

25 JAMES FUTCH: -- to that I wanted to add that,

1 you know, when folks reach the end of that time
2 period, we don't just like, you know, toss them out.
3 In fact, Giovanna's been going through the, the
4 minutia of the folks at the far end of this just to
5 make very, very, very sure that every single person,
6 you know, didn't submit something some place that
7 got stuck in a, you know, an e-mail box before she,
8 before she turns it back over to, to Dayle's group
9 to say, yes, I think these are truly ones that, that
10 don't meet the statute requirement.

11 EVALEE TAYLOR: Right. She's definitely
12 been -- that was one of the assignments that she and
13 I discussed and decided that would be really helpful
14 just in case there were any that, like you said,
15 that had submitted the document but it somehow got
16 missed or for whatever reason, just to make sure
17 that before their application is expired in the
18 system, that it is truly expired.

19 And so, that being said, I will give you guys
20 just a little bit of an overview of the life of an
21 application. And part of that is what happens if an
22 application does expire before it's able to be
23 complete.

24 So when an application is submitted, if the
25 applicant submits the application online, then -- and

1 pays and submits all their supporting documentation
2 and has already passed the exam, we are able to
3 approve the certification, approve the application
4 on first initial review. That is much easier, much
5 more timely and much more efficient on the processor
6 side of it.

7 But then, of course, that's not always
8 feasible. Sometimes people submit an application
9 and like we were talking about it expires, then they
10 have to send in a paper application because the
11 system will not allow them to apply online twice.

12 So when an application is submitted through the
13 mail with a payment, it goes to a separate
14 department -- the finance department -- and they do
15 what they do with the check and then they forward
16 the actual application over to a contracted partner
17 that we have.

18 They input the application; they make sure that
19 it shows up in our imaging system and then it's
20 routed back to our office and the payment is
21 attached. So paper applications may get through at
22 least three locations before we're even able to
23 process them and then at that point, they show up on
24 the same report as the online applications and our
25 processors are able to go in and do that -- conduct

1 that initial review and send the initial deficiency
2 letter with anything that may still be needed. And
3 then at that point, the applicant is able to -- or
4 an approval letter, if everything is there. Those
5 are the best.

6 But if a deficiency letter is sent, then the
7 applicant has the opportunity, up to six months, to
8 submit all of the deficient documents, whether that
9 be their Social Security number, their driver's
10 license, passing exam scores; verification of their
11 other state license.

12 You know, the deficiencies vary, but they have
13 six months to submit that documentation. And then
14 there are multiple ways to submit that. Documents
15 can be uploaded to the online system. Documents can
16 be e-mailed. We have a fax number. We also receive
17 paper mail these days, still very little for Rad
18 Techs, but we do. And then the processors gather
19 all of their documents and add it to the file and
20 conduct a second or third or fourth review of the
21 file until it is complete.

22 And every -- when we review a file, a new
23 document is sent, an updated deficiency letter to
24 let the applicant knowing anything that's still
25 outstanding and the process continues until either

1 we get all the documents and we're able to approve
2 the application, or like I said before, or it
3 expires.

4 And there are all kind of varying things or
5 delays that could come up during the process. And
6 one of the things that we talked about was kind of
7 going over the top deficiencies.

8 So probably the biggest deficiency that we see
9 is an applicant that has not applied the correct
10 way. Meaning they chose a different pathway or a
11 different method of qualifying than what they
12 necessarily need to. A lot of times, applicants
13 will apply for an exam when they already have their
14 exam scheduled. So really, they probably should
15 apply by endorsement or even wait until they've
16 passed the exam and then apply by endorsement.

17 We also have applicants that do not receive
18 their education in the United States and then they
19 apply for endorsement when they should have applied
20 for exam. So we see a lot of that.

21 Choosing the correct modifier, the correct
22 pathway, the correct type of license, even,
23 honestly. Apply for GR when they meant DXMO or vice
24 versa. We see a lot of that deficiency. And
25 unfortunately, getting the corrected application

1 back from the applicant can also turn into an
2 additional delay, because a lot of times, the paper
3 application will confuse applicants and they still
4 won't necessarily submit the information that we
5 need. So even though they've submitted the
6 corrected application, we still have to send another
7 deficiency letter to let them know that the way they
8 submitted it is unacceptable or it still needs a
9 update.

10 KATHLEEN DROTAR: Evalee, this is Kathy Drotar.

11 EVALEE TAYLOR: Yes.

12 KATHLEEN DROTAR: Hi. And that, I know, is a
13 big concern because a lot of times, a new graduate,
14 the question asks have you, have you had previous,
15 or have you had a certification. And in truth, they
16 haven't had one until now. And I think that, you
17 know, a couple of the questions, the way they're
18 worded, I think adds to that confusion. So just,
19 you know -- and I understand why it happens. And,
20 you know, they're graduates. We've tried our best
21 to advise them on how to say, yes, you are ARRT.
22 But I think they, you know, they get away from us
23 and lose that perspective.

24 EVALEE TAYLOR: Oh, absolutely. We're
25 currently working on updating so the questions --

1 whenever we first get in an application, there are
2 questions that are called suitability questions.
3 And it's -- the purpose is to kind of field the, you
4 know, ask questions to make sure that the applicant
5 knows exactly that they're applying for the right
6 pathway to the right method of qualification.

7 The current question or questions that are
8 available that are asked aren't the most efficient
9 and I don't think that they pull the red flags or
10 the yellow flags that they maybe should, so we are
11 working on updating those. We have some of them
12 drafted, but we really want to make sure that we
13 don't add extra steps to an application that aren't
14 necessary, but also that we catch all of the things
15 that can cause delay and that we've worded it in
16 such a way that it will be easily understandable and
17 clear, because I'm one of those people also, when I
18 go to read something, if it doesn't make sense to
19 me, I'm probably going to pick the wrong one, too,
20 honestly, just because I will read too into
21 something and not quite get it as clear.

22 So we want to make sure and have -- I'm real
23 big about trying to use the rule, the Florida
24 Administrative Code and keep that same language, but
25 make it clear. So that that way, we're asking

1 exactly what we need to ask. But also at the same
2 time, that the person were asking understands and is
3 able to give an accurate answer.

4 KATHLEEN DROTAR: Thank you. If you need --

5 EVALEE TAYLOR: The ultimate goal --

6 KATHLEEN DROTAR: If you need a base to test
7 it, let me know.

8 JAMES FUTCH: Kathy is offering all of Keiser's
9 schools, I think, to be the testers.

10 DAYLE MOONEY: We worked -- when we developed
11 these suitability questions, we worked very closely
12 with James' team. We can certainly, you know, feel
13 them out. And these are -- the suitability
14 questions are preliminary questions. They're like,
15 are you ready to apply? They don't prevent you from
16 applying and they're not actually application
17 questions.

18 The questions that are on the actual
19 application are questions that are based off of the
20 application that's incorporated into the rule by
21 reference and have force of law. So making those
22 changes is a little bit more laborious of a process,
23 so we feel like we can either get there quicker by
24 adding some helpful hints inside the actual
25 application or asking the front-end questions of, is

1 this the right pathway and if you answer -- they're
2 yes and no questions. And if you answer yes, then
3 no problem. If you answer no, it will say, you may
4 want to back up and apply this way. You may want to
5 back up and do this. Or if you're not prepared to
6 give us this information, if we say, are you
7 prepared to give us a copy of your current ARRT
8 certification? Yes, great. No, please be aware
9 that failure to be able to provide this information
10 could result in licensure delay. Just so that
11 applicants have that awareness that this is a
12 required element and if they don't do this
13 correctly, then it could be, you know, it could
14 cause some issues for them.

15 So we think we're gonna fine tune those. Those
16 are fully within our control. They don't have force
17 of law because they don't actually stop someone from
18 moving forward with an application, but it's a -- we
19 find with other professions, that it's a good way of
20 reducing frequent deficiency items.

21 JAMES FUTCH: I wanted to -- I don't want to
22 interrupt you, Evalee, if you had more that you were
23 going to go forward with. I wanted to back up and
24 give a little bit of a 30,000 foot overview.

25 The profession became -- we started, entered

1 into this relationship with MQA in 2005. That's
2 when the initial set of online applications was put
3 together and as Dayle very rightly said, the paper
4 application, which was the thing that's incorporated
5 in the rule, is what we have to mimic online as best
6 as possible.

7 In past experience with changing rules, as this
8 committee knows, just changing one or two simple
9 aspects of CE took, I think, two-and-a-half years to
10 get through a rule change, so there is much room for
11 improvement.

12 I know MQA is moving to a new system at some
13 point. I'll give you a basic example of some of the
14 ways things are implemented in the application. If
15 you want to apply to become a general radiographer,
16 the first question that is asked of you is, do you
17 want to apply to become a general radiographer?
18 However, if you want to become, let's say, a
19 mammography tech, the system is set up first ask
20 you, do you want to become a mammographer? You say
21 yes or no. If you say no, then it asks you if you
22 want to become a nuclear medicine technologist. And
23 you say no, and so forth and so forth in a very
24 linear fashion until you get to what we think is the
25 smallest number of professionals who could probably

1 want to apply for the thing that's seven layers
2 deep.

3 If it were possible back in 2005 to do it
4 differently, they would have. Going forward, we're
5 hopeful there will be latitude that will allow, for
6 example, perhaps a screen where you check box, hey,
7 which one of these things do you want to apply for,
8 something like that.

9 We got to the place that we're at right now,
10 though, because basically, there was a shortage of
11 some staff -- very important, very highly skilled
12 staff left in the middle of last year and that
13 caused a workload issue that Dayle's group started
14 to respond to. And given the way things worked and
15 the time it took, there was extra work to go around,
16 so processing -- some of my staff were data
17 processed to help out. A whole bunch of EMT
18 paramedic staff learned how to process because
19 they're, what is it, twice as big as us or three
20 times as many licensed professionals.

21 So all of that hit around June, July of last
22 year. And at one point in time, I know Kelly and
23 Giovanna, I think, I forget how many they were doing
24 in a week, but a lot of people, and all of us became
25 acutely aware what the processors had probably known

1 for a long time, that there's room for improvement.

2 One of the things from my perspective is to --
3 and by the way, for Kathy's assistance as the
4 President of Florida Society of Rad Techs, we have
5 an upcoming Teams meeting with Dayle and her staff
6 and all of the program directors that Kathy can
7 wrangle.

8 KATHLEEN DROTAR: In the State of Florida.

9 JAMES FUTCH: In the State of Florida. Not
10 national. Just in the State of Florida. Seventy
11 some odd possibilities. To do a meeting like this,
12 and talk directly from the folks who are giving us
13 the applicants, to the folks who are processing, to
14 help figure out some more of optimization of the
15 pathways and how best to do it.

16 My personal feeling is perhaps shared by some
17 of the others. Is if you apply, what happens if you
18 apply by exam is, 99 times out of a hundred, you're
19 going to go -- people want to become licensed by the
20 national registry, ARRT or NMTCB. They apply for
21 perhaps both. They're going to get set up by ARRT,
22 so that literally on the day after their graduation,
23 they've already got probably their exam scheduled.
24 And they're going to know the pass or fail
25 unofficially as soon as they get finished at that

1 testing center on that very day. And they become
2 official three days later and get their license
3 electronically, typically within a week online.

4 The application by exam, I think is mostly used
5 because people think, oh, I'm going to get a
6 temporary so I can go to work on the first day of my
7 graduation. And that's an archaic holdover from
8 years gone by from when tests were only available
9 twice a year, paper and pencil, so you had a
10 temporary to cover you for the six months it took
11 you to get to the next exam.

12 That's not typically what happens. What does
13 happen is they get licensed by ARRT and in the
14 process, when they are sent by us, let's say we
15 actually got them through the process. It was one
16 of Evalee's completely -- everything was there,
17 there was no delay, no deficiency letters had to be
18 sent and we managed to get them processed and we
19 sent them to ARRT on the same day or maybe a day
20 after.

21 When ARRT gets that application from them, they
22 will only let them take that test, that ARRT exam
23 which they're administering for us as the state
24 candidate, they will only let the applicant take it
25 from one or two organizations. They will not let

1 them take it and have it count for both. So they
2 ask them, do you want to convert and take this as an
3 ARRT candidate? What would you all say?

4 KATHLEEN DROTAR: Yes.

5 JAMES FUTCH: It's the national registry. Of
6 course you want it to count for the national
7 registry. They will not accept you being licensed
8 by the State of Florida first by endorsement into a
9 national registry license. You will have to take
10 that test again. Same test. You will have to take
11 it again if you want it to count for ARRT if you
12 don't pick ARRT to begin with.

13 The next thing that happens is, because of some
14 changes that have happened over the years, and I
15 think this is in the contract, we don't find out
16 about the results once you do that from ARRT.
17 They're not going to send us your score.

18 Hopefully, in talking to ARRT, certainly by the
19 time we get to the next contract, we will have them
20 put in some language, perhaps, so that they say,
21 hey, we will still send you the scores to your state
22 if you want us to, if you release them to the state
23 for, for that purpose. But that's an improvement
24 for the future.

25 Anyway, that's the, the larger 30,000-foot

1 view. So hopefully, out of the next month or so,
2 certainly by the time of the next meeting, we'll
3 have a pretty good idea of what else can be done to
4 fine tune things inside the system on the state
5 side, and the program directors will have a better
6 idea of how to handle things on their side if there
7 is a better way.

8 KATHLEEN DROTAR: So most --

9 DAYLE MOONEY: And James, I don't want to steal
10 Evalee's thunder, but that you just discussed right
11 there, that distinction between basically the
12 translation of what happens at ARRT when they apply
13 and as a national certified license, licensee, over
14 to Florida, that changes the nature of our
15 application type down here.

16 So if you've applied for a temporary, then you
17 would need to either withdraw your application or
18 send us some amendment so we could change your
19 application type, move the money over; do a whole
20 bunch of things on our end. That probably is our
21 number one. And so I think that the suitability
22 question that we're trying to ask here for those
23 applicants that are telling us that they want to
24 apply by examination, is are you currently scheduled
25 to take your ARRT exam or have you already taken it?

1 And if you answer yes to that question, then we're
2 gonna say, you may want to back up and apply by
3 endorsement then, so that we're not changing the
4 type.

5 Yes, then the applicant will need to tell us if
6 they will need to prove to us that they are
7 currently ARRT certified by sending us their
8 certification, we can go check their certification
9 status and we do that. And if we have any trouble,
10 then we would talk to the applicant and see if they
11 can provide us with that documentation. But we
12 believe that that will fix probably our biggest hang
13 up with licensing for Rad Techs.

14 KATHLEEN DROTAR: So what happened in the past
15 is when we first went electronic with the
16 applications, our instructions were to apply by
17 exam. And that changed probably about five years
18 ago, and so we're telling people to do it by
19 endorsement now. But, you know, what you see on
20 your end is another story.

21 DAYLE MOONEY: Well, and it gets really
22 confusing for people, I can tell you, because it's,
23 it's kind of a drop down screen and they get to go
24 pick. So in the stress of the moment, or just have
25 forgotten what they had been previously instructed,

1 mistakes will happen. And people will say, I'm
2 taking an exam, so I need my license by examination.
3 And not necessarily drawing those distinctions
4 between the definitions that we have at the state
5 versus what is national versus what's common
6 knowledge on the street, because we all speak
7 different language.

8 KATHLEEN DROTAR: True.

9 JAMES FUTCH: Another thing that changed some
10 years ago, this was not as much of a problem when
11 this conversion to state candidate happened, because
12 there is a national site that all the states can see
13 ARRT test results and not have to depend upon it
14 straight from the, from the applicant.

15 And we used to see the results from anyone who
16 had a Florida address would show up there, even if
17 they converted to ARRT. But I figure, or I feel at
18 some point, legality, the lawyers on the other end
19 probably said, oh, that's not the best idea.

20 If we can somehow talk to ARRT so that we
21 actually still get those, and again, my thought is,
22 can you please just ask them in this process where
23 you're electronically saying, converting over to an
24 ARRT candidate, you know, by doing this, do you wish
25 to have your scores sent to Florida. Then it would

1 appear in that, in that site and we'd be able to
2 easily find them and finish the process on the, on
3 the exam side.

4 We must have talked about everything. There's
5 nobody saying anything. Oh, wait a minute. Chantel
6 has a question.

7 DAYLE MOONEY: Evalee, I think we kind of took
8 a tangent. Did we cover everything that you wanted
9 to cover?

10 JAMES FUTCH: I think so. We have a council
11 member who has, or had a question. Did we scare you
12 away, Chantel?

13 CHANTEL CORBETT: No, no, no.

14 JAMES FUTCH: She can't hear you. Try the mic.

15 CHANTEL CORBETT: You're assuming that. I can
16 talk loud.

17 The question is whether if you have a current
18 radiological technologist license and you're seeking
19 a second license category. Is that now available
20 online or are they still have to submit those on
21 paper? So if they have a nuclear medicine license
22 and they're now seeking their CT license.

23 JAMES FUTCH: By the way, this is Chantel
24 Corbett, our nuclear medicine technologist council
25 member.

1 CHANTEL CORBETT: Candidate.

2 DAYLE MOONEY: So I believe that that is an
3 online process. I mean, it's a paper process
4 currently, but we are looking to enhance and I'm
5 going to reserve the right to correct myself between
6 now and two weeks from now if that is inaccurate,
7 but my, if my memory is serving that, yes,
8 unfortunately, it is still a paper process to add a
9 modifier to an existing license.

10 CHANTEL CORBETT: Is that considered a modifier
11 or a second license?

12 DAYLE MOONEY: It would be. If you're adding
13 it to a previous license, then you -- then it would
14 be an addition. There's some that you can add
15 sometimes, James.

16 JAMES FUTCH: Chantel, I'm sorry. I missed the
17 category that you asked. What was the situation?

18 CHANTEL CORBETT: If they're nuclear medicine
19 already and you're adding CT.

20 JAMES FUTCH: And you're adding CT. Oh, I see
21 why you're asking that.

22 CHANTEL CORBETT: Because it's very unclear.

23 DAYLE MOONEY: It's unclear what the underlying
24 license is.

25 JAMES FUTCH: It should be considered an

1 additional license because what you're talking about
2 with --

3 CHANTEL CORBETT: What's what I thought.

4 JAMES FUTCH: -- the full CT is to do the full
5 diagnostic imaging, not just the coefficients for
6 laying nuclear medicine data in the --

7 CHANTEL CORBETT: Right.

8 JAMES FUTCH: -- landmarks of the body.

9 CHANTEL CORBETT: Correct.

10 JAMES FUTCH: So they won't have that -- that's
11 why it is an additional license. It should appear
12 as CT on the license when they're done.

13 CHANTEL CORBETT: Correct. But the problem
14 right now, it's only provided by paper, so it's
15 still a longer process. I didn't know if that was
16 in the works to put that availability online or not.

17 JAMES FUTCH: We'll have to take a look.

18 EVALLEE TAYLOR: The modifier for recent
19 transactions is available online, but our system
20 does not allow for someone to apply online for the
21 same, we'll call it profession code. So if someone
22 already has a license and applied online, it's not
23 going to allow you to apply online for the same type
24 of license.

25 CHANTEL CORBETT: Right. And the type of

1 license --

2 EVALEE TAYLOR: It's the same as an expired
3 applicant.

4 CHANTEL CORBETT: Right. When you're saying
5 the same type of license, that's everything under
6 the ARRT umbrella, correct?

7 EVALEE TAYLOR: Yes.

8 JAMES FUTCH: So ARRT's are in the profession
9 code 7601 and radiologic assistants, for example,
10 like Rosevelt, they're in 7602.

11 CHANTEL CORBETT: Yeah, because we've had a
12 couple people had their applications in and --

13 DAYLE MOONEY: However, the ability to do
14 anything that you need to do with your license is,
15 including, you know, applying for things, additional
16 licenses that are legally authorized for you to
17 have, is on our list of requirements for the next
18 licensure database that we have just contracted a
19 vendor for and should be having a system replacement
20 in the next -- you're going to kill me when I say
21 this because it seems like it's so on down the road,
22 but it's really not -- the next two to five years.

23 CHANTEL CORBETT: I was going to say ten.

24 DAYLE MOONEY: So we, we have a selected
25 vendor. The State's gone through a very, very

1 public process in order to find a new vendor to
2 replace our current licensing database and that
3 individual has just been selected. I have not seen
4 the system, myself. We went through some
5 extensive -- well, a wish list and want list and
6 demand list was. And what I'm told under very good
7 authority, by people both on the licensing side of
8 the house and on the tech side of the house, is that
9 we're gonna get all of our wants and all of our
10 demands.

11 The entity has been retained and we are moving
12 very quickly now. Their timeline, we said, we're
13 ready to roll this out in five years. And they
14 said, well, we'd like to do it in a year and we
15 said, hold on just a minute. We're talking about
16 millions of licensees. Can we find a happy
17 compromise so we're not rushing the process too
18 much? But we're getting it done as quickly as
19 possible.

20 So I do think that we're gonna see some
21 enhanced capabilities in the very near future and I
22 think we're already looking at ways that we can do
23 business more efficiently in the interim with the
24 system that we have.

25 JAMES FUTCH: And Dayle, that system would

1 cover everybody in the room that's a licensed health
2 care professional, right?

3 DAYLE MOONEY: Absolutely. And it shouldn't
4 look any -- well, it should look easier for anyone
5 that is a current licensee. But we're not talking
6 about, you know, obviously, there's not going to be
7 any additional work that's necessary. We will do
8 all of the data migration from one system to another
9 and, you know, the roll out to the, to the licensees
10 should be minimally impactful and then the next time
11 you go to renew your license, it should just be
12 easier. And the next time that you need to go do
13 something for your license, it should be easier.
14 And things should, should be better. There's a lot
15 of shoulds in that sentence, though.

16 JAMES FUTCH: It sounds like dollar signs to
17 me, but --

18 DAYLE MOONEY: It's a very high-dollar project
19 and rightfully so. I mean, this system maintains
20 the livelihood of a whole lot of people.

21 JAMES FUTCH: It's part of the deliverables and
22 I'm sure every executive director will ask you this,
23 will you be able to apply more than once online
24 before you have to go to paper or will you go to
25 paper at all?

1 DAYLE MOONEY: Certainly was our hope and on
2 our wish list and our demand, kind of on our demand
3 list, we want to be a paperless system. We don't
4 want to receive things in paper. We don't want
5 people to have to mail us checks and money orders
6 and download paper applications if they choose or
7 want to.

8 We will always -- I think we're always going to
9 have to have the ability for people to do that, but
10 we certainly want everyone to be able to apply
11 online. That's what our preference is. We don't
12 like paper applications.

13 MARK SEDDON: I have a question. So for -- do
14 you guys provide segmentation of our data regarding
15 clean versus applications with problems? And also,
16 if you have a -- as far as how long it takes for
17 somebody. Obviously, like you mentioned before, you
18 know, like three days' turnaround for a clean
19 application versus, you know, ones with problems.

20 I'm curious for those applications, those
21 problems where they have to resubmit numerous times,
22 if there's categorizations of, from new graduates
23 versus people from out of state, how that looks as
24 far as what their timeframes are.

25 DAYLE MOONEY: Um --

1 JAMES FUTCH: Mark, do you mean from a
2 reporting standpoint?

3 MARK SEDDON: From a reporting standpoint.

4 DAYLE MOONEY: We probably could get that
5 information. You know, it's certainly something
6 that we can -- we don't routinely segment our data
7 that way. We, we very likely could do an ad hoc
8 report and ask for that level of data. I'm not a
9 hundred percent sure how helpful it is.

10 Our anecdotal information is that we probably
11 see the same number of new grads versus out-of-state
12 endorsement applications that are -- and because we
13 have new grads that are applying by endorsement,
14 it's difficult to really -- once you get to
15 endorsement versus licensure by examination, I can't
16 distinguish from someone that is an out-of-state
17 licensee that is truly endorsing in, or a recent
18 graduate of a Florida program that has national
19 certification that is applying by endorsement.

20 MARK SEDDON: Because anecdotally, again, this
21 is not about data, is we hear, at least across my
22 facilities, that out-of-state applicants taking
23 significantly longer to be processed. So I was just
24 curious if you guys had data on that or not, so --

25 DAYLE MOONEY: I don't have that level of data

1 currently. We can look and see, but again, I'm not
2 sure that we're gonna need -- because it's
3 endorsement, I can give you -- I can ask them to run
4 me reports off of a transaction type. So if it's
5 licensure by endorsement, I can get that level of
6 data. I don't -- I can't readily or reliably
7 subdivide that data down to say this person was an
8 out-of-state educated individual that's coming in.

9 I will say that there are, probably
10 anecdotally, there are a lot of people that come in
11 that don't, because they don't have Florida program
12 directors that are assisting them in the application
13 process, that they don't give us copies of licenses,
14 they don't give us their national certification,
15 they don't give us copies of their driver's license;
16 they forget their Social Security number. There are
17 quite a few deficiencies in those applications. I
18 don't know if they're any more than the number of
19 Florida graduates that apply by examination when
20 they want to be -- when they should be endorsements.

21 MARK SEDDON: And that was my point is just is
22 there a way to identify what -- or is there really a
23 problem and what -- whether it's a commonality for
24 the out-of-state folks, but it sounds like you do
25 have some awareness of that, so --

1 JAMES FUTCH: I also wanted to say, by the way,
2 that was Mark Seddon, the medical diagnostic nuclear
3 physicist from Advent Health, one of the council
4 members in the nuclear physicist position.

5 All of us, I think, as council members, if, if
6 you need any help or assistance or other
7 information, we're always happy to bring it back to
8 the council. This particular council is very
9 available to us in between.

10 And I saw a second ago somebody said our
11 meeting was five minutes away from closing. Also
12 lunchtime. So I just want to throw that out in case
13 you disappear entirely, that's what happened.

14 I wanted to thank you for coming and for doing
15 this and really looking forward to the meeting with
16 the program directors on -- later this month.

17 Something that Mark was saying. I'm trying to
18 think, for people who are applying -- we were not
19 covered by the Mobility Act, which passed last year,
20 which would've, I think, probably resulted in, in a
21 much greater improve of folks not being able to be
22 certified who were coming in by endorsement, so
23 we're very fortunate in that.

24 And the big game is town is ARRT and to a
25 certain extent, NMTCB for the nuclear medicine

1 folks. Other than the fact that people who are
2 coming from other states tend to be older and in the
3 profession for a longer period of time, they might
4 have difficulty finding some of those old documents,
5 that's about the only reason it might show it. But
6 in the new system, Dayle, hopefully there will be
7 excellent mechanisms by which to track data on
8 deficiencies and nail it down, you know, like we do
9 trying to go look anecdotally to see what's going on
10 from looking at the --

11 DAYLE MOONEY: I mean, we have data on our --
12 we have data on deficiencies, like, what our top ten
13 deficiencies are, but they're -- it's not, not as
14 easily segmented out as what we're looking for here.

15 JAMES FUTCH: Sure.

16 DAYLE MOONEY: I have a note and we'll see if
17 we can't get to that point and if we do, we'll
18 certainly report back here and so we can share that
19 information out.

20 JAMES FUTCH: Anyone else have any questions
21 for Dayle or Evalee or both or the process in
22 general?

23 Ladies, do you have anything else to ask us or
24 can share?

25 DAYLE MOONEY: Evalee, did we hit all your

1 bullet points?

2 EVALEE TAYLOR: Yeah. I mean, as long as
3 everybody got their questions answered and most of
4 the things, most of the sensitive data James wanted
5 to be included and presented, if everything was
6 touched on, I'm good on mine.

7 JAMES FUTCH: Okay. Well then, thank you very
8 much from the group. And we look forward to seeing
9 you at future meetings and we'll say goodbye now.

10 MARK SEDDON: Goodbye.

11 JAMES FUTCH: Thank you.

12 DAYLE MOONEY: Thank you. Bye bye.

13 KATHLEEN DROTAR: So on that mobility law last
14 year --

15 JAMES FUTCH: You can shut it down, Josh.

16 All right. Sorry, I'm going to give a big
17 exhale right now. That this actually worked.

18 KATHLEEN DROTAR: Yeah.

19 JAMES FUTCH: There's a lot to be said about
20 hybrid meetings and getting sound to go back and
21 forth. But that was, that was very good.

22 I'm sorry.

23 KATHLEEN DROTAR: That's okay. No, on the
24 mobility thing, a lot of credit goes to FRS for
25 assisting with getting to the right people to get

1 that language removed for, for radiologic
2 technologists.

3 JAMES FUTCH: And at some point in the future,
4 they may come back with the second bill from last
5 year, which was the fingerprinting. Fingerprinting
6 background. I think we're one of the few that's not
7 included in that.

8 KATHLEEN DROTAR: Yeah.

9 JAMES FUTCH: And that may be coming in the
10 future.

11 KATHLEEN DROTAR: That's not a biggie.

12 JAMES FUTCH: All right.

13 MARK SEDDON: All right. So I know we want to
14 adjourn for lunch or --

15 LISA GAVATHAS: We have a rain band coming, so
16 if we want to walk to lunch, we might want to go
17 pretty quickly.

18 JAMES FUTCH: Did you bring an umbrella?
19 Sounds like noise.

20 LISA GAVATHAS: I didn't bring one. Right now
21 it's dry. I just walked over there. I walked down
22 through the grass and it was fine, but --

23 JAMES FUTCH: All right. So bring your
24 galoshes and your umbrellas.

25 LISA GAVATHAS: I told them we'll be there

1 like, by 12:15, so --

2 JAMES FUTCH: We're coming back when?

3 MARK SEDDON: We're coming back at 1:30.

4 Everyone make sure you'll be back here by 1:30. The
5 room will be locked while we're gone.

6 (Proceedings recessed at 11:56 a.m.)

7 (Proceedings resumed at 1:25 p.m.)

8 MARK SEDDON: All right. I guess we can go
9 ahead and get started. It's almost that time, if
10 that's okay. We have everyone here. That way we
11 can get done in time.

12 So we'll go ahead and start with Lisa to do the
13 radiation machine update.

14 LISA GAVATHAS: Okay. I'm not going to take up
15 much time because Mark has to talk for a while. So
16 mine is going to be really short.

17 JAMES FUTCH: Mark just said take all the time
18 that you need. Go right ahead.

19 ADAM WEAVER: Take all day.

20 MARK SEDDON: Take as much as you want.

21 JAMES FUTCH: Especially since I don't have his
22 presentation on here yet.

23 LISA GAVATHAS: Okay. I'm going to take these
24 off because I can't read.

25 I'm going to start with staffing. First of

1 all, we hired someone in my place. It was the
2 environmental health program consultant who was the
3 MqSA coordinator for the State, and that is Camilla
4 Guy. I think probably all of you have met her at
5 one of our previous meetings. She filled in for me
6 a few times. And she's very hard worker. Really
7 good.

8 We also have some really bad news. Tracee
9 Strohman. I don't know how many of you have talked
10 to Tracee. If you've done any registration through
11 the state, you've probably talked to her at some
12 point in time. She retired. She's now going to
13 Maine, like, for the summer. And maybe she'll see
14 Glen. But we're -- we were going to try to
15 reclassify the position, but it got -- the
16 reclassification got put on hold, I'm told. So I
17 guess we'll be hiring another Admin Assistant 3 to
18 be in her place, but it hasn't been announced yet.

19 We also have -- Camilla has vacated the ES3
20 position, the Environmental Specialist 3 position,
21 so we'll have that advertised soon. I think that
22 one is going to be advertised pretty soon. And we
23 have one contract worker right now who's assisting
24 with data entry and that's somewhere we've been real
25 behind for a while because we've had a lot of

1 training of new staff. So anyway that will give us
2 a full house.

3 So for the number of facilities, as of last
4 Friday, we had 21,110. The number of tubes as of
5 last Friday was 66,411. And for an update on our
6 online payment system, 44 percent of all payments
7 came in on the online payment system and it worked
8 wonderfully. And we've been really pleased with
9 that. Hopefully, the percentage will go up next
10 year. We'll see.

11 But we've collected 96 percent of the total
12 that was invoiced back last August. So there's
13 always that percentage that doesn't pay due to
14 possibly closed facilities and what have you.

15 We've had no new medical events reported since
16 the last meeting, but we had one overexposure, which
17 was an interventional radiologist and it was after
18 the year, his badge from the fourth quarter, he went
19 over five. And I think it was 5.9ish. So -- and
20 they wrote a report and submitted it.

21 The MqSA contract, usually we start doing a
22 modification process by May or June, but I was
23 informed by the FDA that the contracting department
24 has been eliminated; therefore, we don't know when
25 the -- when or who is going to modify our contract

1 or how it's going to be modified. We haven't --
2 we're submitting an invoice. We haven't submitted
3 one since that department was eliminated, so we're
4 hoping we also get paid.

5 And now a current issue that we've had, this is
6 just one that's going to -- that Mark is going to
7 follow up on, I think, but it was a -- it came in
8 from a -- it wasn't anonymous, I don't think. It
9 was [INAUDIBLE]. Actually, a Rad Tech called and said
10 that we had an issue at a facility in Miami that
11 they, they were offering free low-dose CT scans.
12 And we found out there were four, four facilities
13 that are offering. It's a facility that you go in
14 to get your free low-dose CT calcium scores. And
15 they offer full body scans, colonographys, which I
16 didn't even know existed, and a few other additional
17 scans. They'll do abdominal scans, whatever you
18 want.

19 They do not accept insurance. They do not have
20 a doctor present, and they print the report from a
21 software and hand it to the patient. So this is
22 currently one of the things that we're doing an
23 investigation on. And Clark's smiling at me, so I
24 may not be able to say much more than that.

25 THE WITNESS: Well, let's say they don't

1 consider them patients.

2 LISA GAVATHAS: Well, this is true. They're
3 clients, I guess. So this is something that we're
4 working on right now.

5 JOSEPH DANEK: Do they have -- what are they
6 trying to get out of this, do you think? There's
7 got to be something -- they're trying to expect
8 something.

9 LISA GAVATHAS: Money.

10 JOSEPH DANEK: Because you say it's free.

11 ADAM WEAVER: The first CT is free.

12 CHANTEL CORBETT: Yeah.

13 JOSEPH DANEK: Oh, yeah, okay. There's the
14 hook.

15 LISA GAVATHAS: The full-body CT, they will
16 then try to talk you into, I think it's \$3000. And
17 in the areas that it's located, there are people
18 that would pay that.

19 And there's even a police department that is on
20 board with it. They are, they are hoping that all
21 of their officers go and have all of these scans
22 done.

23 CHANTEL CORBETT: Are they having -- have they
24 given any pamphlets, let's say, to these clients
25 about radiation exposure?

1 LISA GAVATHAS: Well, we did, we did send in
2 inspectors to all four facilities on the same day,
3 along with an MQA investigator. And so we've got a
4 lot of notes on it. So right now, it's -- we're
5 trying to decide where to go with it.

6 CHANTEL CORBETT: Pending.

7 LISA GAVATHAS: Doing some checking online, we
8 found another couple facilities. One in particular
9 in this area that's possibly doing the same thing.
10 There's no physician or -- now, there are Rad Techs
11 there that are pushing the button, but they're not
12 getting the order from a physician. They're getting
13 it from an intake person who asks a series of
14 questions and says, okay, you're a perfect
15 candidate. They walk them over to the machine; the
16 Rad Tech takes the x-ray or the CT.

17 CHANTEL CORBETT: No contrast.

18 LISA GAVATHAS: No contrast, no. So if you
19 have any questions --

20 KATHLEEN DROTAR: Didn't we have this come up
21 before?

22 MARK SEDDON: We discussed this --

23 KATHLEEN DROTAR: In Tampa, wasn't it?

24 MARK SEDDON: Yeah.

25 CLARK ELDREDGE: That facility did have a

1 physician.

2 KATHLEEN DROTAR: That's right.

3 CLARK ELDREDGE: They had a physician that
4 writes the script.

5 CHANTEL CORBETT: I was going to say in this
6 case, if they have a script from a physician, that
7 would be a different animal.

8 LISA GAVATHAS: If they had a script, but also
9 the Rad Tech cannot give them the diagnosis or
10 whatever. I mean, that's -- you had a question.

11 NICHOLAS PLAXTON: I was going to say Clark was
12 talking about this at lunch and like, you know, like
13 I was at a recent women's health clinic in the last,
14 like a fair, I guess it is, and like, it was like in
15 the last month over in St. Pete. And I was walking
16 around just looking at the different booths
17 available. I think there was, like, two or three
18 booths that did the same thing, where they said -- I
19 kind of like what? The whole body scans, you know,
20 like that type of thing. I didn't look into it any
21 further than that.

22 I was kind of wondering the same thing. I
23 mean, maybe they have a doctor that's behind all
24 that, I don't know, or somebody that's licensed to
25 do that. But the machines obviously weren't there

1 at the fair, but it will probably send you to one of
2 these places.

3 MARK SEDDON: Because you have self-pay, it
4 eliminates a lot of the constraints because usually,
5 it's your insurance reimbursement that kind of
6 controls access. Since none of that is involved,
7 it's kind of -- it just goes up on regulations.

8 LISA GAVATHAS: They fly under the radar.

9 MARK SEDDON: It's under the radar. It's only
10 regulations if there are any. And they don't always
11 apply directly, so it's not quite clear.

12 NICHOLAS PLAXTON: Got it.

13 LISA GAVATHAS: I feel like it's another DEXA
14 situation, but we're upping our game to CT.

15 NICHOLAS PLAXTON: Yeah. I think they even
16 had, if I'm not mistaken, they would have like a
17 little menu. You pay, like, fifty bucks for this
18 type of scan and it was just, like, yeah, cash, I
19 would say.

20 ADAM WEAVER: They advertise it in the
21 newspaper, too. The paper comes out every month.

22 NICHOLAS PLAXTON: Do they?

23 MARK SEDDON: To get a work up done. That's
24 what a lot of executives do, a lot of companies,
25 like Publix does it where they pay for their

1 executives to have a whole work up done. There's
2 still a physician, but they do, like, whole body
3 scans and echos and --

4 CHANTEL CORBETT: Right. Especially for
5 guaranteed money.

6 JAMES FUTCH: Lisa, if I remember right and
7 feel free to correct me, we weren't saying there was
8 anything untoward or unsafe; that they were
9 competent at what they were doing. It was just
10 there wasn't a tie in to a medical need or any
11 determination that had been made by. It seemed like
12 it was driven by, basically, like a survey or
13 something that you fill out.

14 LISA GAVATHAS: Right. They ask a series of
15 questions when you walk in. You sit down and they
16 ask you, they ask -- I actually called to ask what
17 questions you ask. And they asked, do you have a
18 family history? Do you have this or, I mean, they
19 asked me a series of questions. Oh, you qualify.
20 You should come in. And it -- and if you have a
21 partner, bring them in, too, because I'm sure they
22 qualify as well.

23 JAMES FUTCH: And they --

24 NICHOLAS PLAXTON: Who wouldn't qualify as long
25 as you have cash. I guess unless you have an

1 extensive medical history, they're like, yeah, we
2 don't want to -- they want just people with nothing.

3 LISA GAVATHAS: Right. And they do say if they
4 find something, like your calcium scores -- I don't
5 know anything about calcium scores, sorry, but if
6 they are high, then they will recommend that you see
7 someone and if you don't have a doctor, they will
8 recommend one for you.

9 ROSEVELT NHEIK: Are they using -- is AI
10 interpreting this software?

11 NICHOLAS PLAXTON: Probably.

12 LISA GAVATHAS: Software. Yes, AI --

13 NICHOLAS PLAXTON: Because the calcium scores.

14 MARK SEDDON: Yeah, it's an algorithm.

15 JAMES FUTCH: I think it was the relationship
16 between some of the communities that they have
17 sought clients from have incorporated into the
18 marketing, like, you know -- I won't say this is the
19 case in this, but, you know, if you have a
20 population of professionals who come and they all
21 get examined and, you know, one person is found to
22 have an issue and then, you know, upon referral,
23 they go back have something done about that, that's
24 viewed as the positive result of doing all of the
25 scanning for all of the, all of the --

1 LISA GAVATHAS: We got a lot of information
2 from the reviews and the reviews told more, I guess,
3 than actually going in and -- well, you always look
4 at reviews, right, when you do anything; if you go
5 into a restaurant. And so that's how we found out a
6 lot of information when we get complaints from
7 outside. And they would -- there was one review
8 that a girl took her mother in. Her mother insisted
9 that she go. And she took her in and she said it
10 was a marketing scheme. That they took them back
11 into a back room, and all they did was try to
12 pressure her mother into having a scan or full body
13 scan. Well, she, her -- she told them no, that she
14 didn't want her mother to have a full body scan. So
15 they left and they called her mother back by
16 herself. So they wouldn't allow her in the room the
17 second time, to try to pressure the mother into
18 having a full body scan so --

19 ROSEVELT NHEIK: Like selling a condo.

20 MARK SEDDON: Timeshare.

21 ADAM WEAVER: Timeshare.

22 ROSEVELT NHEIK: Timeshare, yeah. Isn't this
23 like the x-ray shoe thing, you know, in the shoe
24 stores situation?

25 JAMES FUTCH: That's an interesting societal

1 comment if you think about it because in certain
2 other parts of our office where we have citizens who
3 are concerned about things like background radiation
4 levels in their house, perhaps due to previous use
5 of the land, or just concern like that, you're
6 talking extremely low levels. And the common
7 perception of radiation exposure, I think we've all
8 been exposed to it growing up in American society,
9 is bad, bad, you know.

10 So I'm -- it's just, it's an interesting thing
11 for me to look at it and go, well, gee, where did
12 that go in this? How did that not factor into what
13 normally you would think would factor in. But
14 again, they said low dose, right?

15 LISA GAVATHAS: They say low dose, which low
16 dose, we can segue into other CT issues.

17 MARK SEDDON: Actually, I wasn't going to talk
18 about this.

19 LISA GAVATHAS: You weren't? No? That's a big
20 issue now, CT dose causing cancer.

21 MARK SEDDON: Yes, I was going to mention that
22 article.

23 LISA GAVATHAS: Okay. Sorry.

24 JAMES FUTCH: One thing, before you leave that
25 particular issue, because you mentioned

1 technologists. In ARRT code of ethics practice
2 standards, whatever you, whichever document, and in
3 Florida, administering radiation without
4 authorization from a licensed practitioner is an
5 enumerated type of unprofessional conduct in the
6 regs --

7 LISA GAVATHAS: Right.

8 JAMES FUTCH: -- for Florida.

9 CHANTEL CORBETT: So do you go after the
10 technologists that are working the tube, I'm
11 assuming?

12 SEAN WILSON: Because technically, x-ray techs
13 work under the supervision of a --

14 JAMES FUTCH: General supervision.

15 SEAN WILSON: -- under the supervision of a
16 licensed medical doctor that prescribes the dose.

17 CHANTEL CORBETT: The doctor wouldn't have to
18 be there to do the x-rays, but they have to give an
19 order.

20 MARK SEDDON: The order has to be valid.

21 JAMES FUTCH: That has to be authorized,
22 whatever form that takes.

23 LISA GAVATHAS: Aren't they supposed to record
24 the dose of each patient and to keep it on file?

25 CHANTEL CORBETT: I was going to say the

1 software should do that.

2 MARK SEDDON: The accreditation for this type
3 of thing. There's no reimbursement, there's no
4 joint commission or anything like that.

5 CLARK ELDREDGE: Now, Florida Statute Section
6 404.22, paragraph 8: A human being may be exposed
7 to the use of beam of radiation only under the
8 following conditions: For the purpose of medical or
9 health care if the licensed care -- the licensed
10 health care practitioner operating within the scope
11 of his or her practice, has determined the exposure
12 provides medical or health benefit greater than the
13 health risk posed by the exposure, and the health
14 care practitioner uses the results of the exposure
15 in the medical or health care of the exposed
16 individual. So --

17 JAMES FUTCH: You have two different statutes.
18 You have the machine side in 404, which also covers,
19 as Clark mentioned, slightly more than the machine
20 itself, obviously. And then you have 468, which was
21 set up specifically for the people who are
22 administering this.

23 I think the path out of this is basically, if I
24 may be frank, if it's a profit-making enterprise,
25 the road to more profit in a stable fashion is to

1 find a doctor who will authorize all of these
2 things.

3 CLARK ELDREDGE: And in our scope, the
4 physician then has to review and provide the results
5 to the patient. They cannot -- there has to be a
6 physician who the person, during this exposure, is
7 the patient throughout. We wouldn't care if a
8 doctor believes his primary care deserves that every
9 one of their patients should have an annual
10 full-body CT because it's in their best healthcare
11 management. I mean, that's within their purview.
12 But for someone who's not a practitioner to
13 authorize a CT, that's a violate -- that's
14 practicing medicine without a license potentially.

15 JAMES FUTCH: If I remember your descriptions
16 from when we talked about this earlier, everyone
17 involved in this says the patient authorizes this.

18 CLARK ELDREDGE: And the patients aren't
19 permitted to authorize it per statute and per rule.

20 JAMES FUTCH: That was the answer you got when
21 the inspectors asked the question of the people
22 involved, was the patient authorized it and they
23 show you the form and the patient filled out the
24 survey form.

25 CHANTEL CORBETT: Self-referral is basically --

1 it's a self-referral at that point.

2 LISA GAVATHAS: It's a self-referral.

3 CLARK ELDREDGE: The only self-referral
4 permitted under Florida codes is mammography.

5 MARK SEDDON: Because it's a self-pay, that
6 kind eliminates a lot of the --

7 CLARK ELDREDGE: Yeah, there's no checks and
8 balances, per se.

9 MARK SEDDON: Yeah, that's a problem,
10 obviously. Self-pay is not a medical facility so
11 you're kind of -- all the checks and balances
12 normally in place wouldn't happen.

13 CLARK ELDREDGE: That's why somebody could
14 operate for a couple years and you don't know about
15 it.

16 MARK SEDDON: At least they're registered,
17 right?

18 LISA GAVATHAS: No. Two of them.

19 CLARK ELDREDGE: Two of them were.

20 ADAM WEAVER: Two?

21 LISA GAVATHAS: Two of them and two of them
22 have tried. One has tried to register since then.

23 CLARK ELDREDGE: Because they found out during
24 the inspection they weren't registered, so they
25 submitted it and it will be processed appropriately

1 within the response to this activity or this
2 investigation.

3 LISA GAVATHAS: We're working on responses at
4 this time. That's all I have if you have any
5 questions from x-ray.

6 CHANTEL CORBETT: I think that's all I can
7 think of.

8 MARK SEDDON: All right. Anything else for
9 Lisa? No. All right. Thank you, Lisa.
10 Do you have a question?

11 CHANTEL CORBETT: So we did have one that was
12 under a RadOnc or a therapy registration that had
13 some diagnostic units under it, and I guess that
14 used to be okay or the way it was okay? And then
15 now they're wanting those separated out. Under
16 their own registration for the diagnostic units. Is
17 that --

18 LISA GAVATHAS: In a hospital setting?

19 CHANTEL CORBETT: Outpatient setting.

20 LISA GAVATHAS: Outpatient therapy is usually
21 under a different -- it will be under TH.

22 CHANTEL CORBETT: So if we find more of those
23 that are combined, go ahead and separate them out?

24 LISA GAVATHAS: Go ahead and separate them,
25 yes.

1 MARK SEDDON: I think another trend we're
2 seeing is you guys requesting authorization use for
3 all therapy centers. People at older facilities.
4 Those are missing, right? A lot of you have --

5 LISA GAVATHAS: A lot of those people are
6 missing. Yeah, you can send it in. We probably
7 have something on file. If not, we will redo it and
8 backdate the authorization to the date that it was
9 originally authorized.

10 MARK SEDDON: A lot of facilities that have
11 been out there for a long time, they change
12 ownership and change management. A lot of people
13 can't find those. But now the newly trained
14 inspectors are asking for that when they go to
15 sites, radiation therapy, and a lot of places don't
16 have those available, but you can reach out to Lisa
17 and they will provide --

18 LISA GAVATHAS: Yeah, that's fine.

19 MARK SEDDON: -- those prior. Another thing,
20 clarification, I guess is also, regarding
21 information number four, regarding the weighting
22 factors for line badges.

23 LISA GAVATHAS: Yes. So weighting factors, in
24 our regulations, it states that if you -- that
25 anyone can utilize weighting factors if it is an

1 approved method. If it's not something that's
2 recognized, you can submit for our approval the
3 method and it can, you know, we can look at it and
4 approve it or just whatever.

5 But before, you were having to send in for
6 specific doctors. It doesn't say that anywhere in
7 the regulations that you had to -- can only do it
8 for interventional radiologists. We find that a lot
9 of interventional radiology are using a lot more
10 help in the, in the room. And if you feel that they
11 need to be -- that they're going to be assisting
12 closely, they probably need it, to be using it as
13 well.

14 But EDE 1 and EDE 2 are approved methods. If
15 you use that -- one of those two methods, then you
16 do not have to submit something for approval. But
17 what we suggest is you put something in the
18 radiation protection program that says, we -- for
19 any user, operator that is using -- that will be
20 using weighting factors or EDE calculations, we will
21 notify them, they will sign something and at the end
22 of the year for their yearly exposure, it needs to
23 say how their EDE was calculated.

24 MARK SEDDON: But so one thing, because I think
25 a lot of folks may have been previously approved on

1 the older version of this --

2 LISA GAVATHAS: Mm-hmm.

3 MARK SEDDON: -- back in the day when it was
4 Dawn and Phillip and them. But if you can't find
5 your original approval letter, you should request --
6 I think it's in the notice, itself.

7 LISA GAVATHAS: No. You just need to put it in
8 your radiation protection program that for any user
9 that, that uses -- well, Clark's going to be arguing
10 with me.

11 ADAM WEAVER: If you're using the standard
12 methods.

13 LISA GAVATHAS: Okay. Clark.

14 MARK SEDDON: At the bottom of this notice, it
15 says something that's -- the very bottom.

16 LISA GAVATHAS: If you use an approved method,
17 that's what it says in the regulations.

18 MARK SEDDON: If you're unable to find a copy
19 of your approval letter, you should resubmit your
20 request.

21 LISA GAVATHAS: Where does it say -- that's
22 in --

23 MARK SEDDON: That's in the actual notice
24 that's on the website. That's a new thing that
25 wasn't on the draft that was initially sent out,

1 which is catching people off guard.

2 LISA GAVATHAS: Yeah, I didn't see that one.

3 CHANTEL CORBETT: That's what it used to be.

4 LISA GAVATHAS: I haven't even seen this.

5 CLARK ELDREDGE: Registrants who have already
6 adopted method of correcting exposure to external
7 radiation fields and unable to find a copy of their
8 approval letter, should resubmit their request
9 according to this information to obtain an updated
10 approval letter.

11 MARK SEDDON: Yeah. Because a lot people have
12 the old letters from, like, twenty years ago or
13 maybe not.

14 CHANTEL CORBETT: So the question really is, do
15 you want hundreds of letters --

16 MARK SEDDON: Do you want those letters --

17 LISA GAVATHAS: No, I don't want.

18 CHANTEL CORBETT: -- coming your way or do you
19 want us to be okay with using the standards.

20 LISA GAVATHAS: What does it say? This is like
21 the -- those are recommended guidelines, information
22 notices.

23 MARK SEDDON: Okay.

24 LISA GAVATHAS: It's not regulation.

25 CHANTEL CORBETT: Okay. We'll go by the

1 regulation that says if they're using standard,
2 we're good.

3 CLARK ELDREDGE: Where's the approval?

4 LISA GAVATHAS: Pardon?

5 CHANTEL CORBETT: The approval is the
6 regulation.

7 LISA GAVATHAS: It says in the regulations that
8 if you use a calculation method for approval, for
9 calculating your EDE, approved method, you do not
10 have to -- I mean, you can, that anyone can use an
11 approved calculation. EDE 1 and EDE 2 is in NCRP --

12 MARK SEDDON: 122.

13 LISA GAVATHAS: 122. No.

14 MARK SEDDON: NCRP 122 method. There's another
15 one, too.

16 SEAN WILSON: It's in the new reg, 8.40 as
17 well.

18 LISA GAVATHAS: What was the first -- what was
19 the other?

20 MARK SEDDON: 122.

21 LISA GAVATHAS: EDE 1 and EDE 2?

22 MARK SEDDON: Oh, that one was actually older.
23 I can't remember. But NCRP 122 has a new, has a new
24 calculation.

25 LISA GAVATHAS: Okay. Well, then that's an

1 approved method. I would say if it's approved by
2 NCRP. I don't know. Clark may have a different
3 opinion. Clark is my boss. I have to do what he
4 says, so --

5 SEAN WILSON: If it's approved by 8.40,
6 recognized in the new Reg 8.40, is that not an
7 approved method because they recommend the use of
8 those three different methods, EDE 1, EDE 2 and
9 then a --

10 LISA GAVATHAS: Third method.

11 JAMES FUTCH: Would you like to take this under
12 advisement for a future council meeting?

13 LISA GAVATHAS: Yes. That's a great idea. We
14 might need to talk about that more. If everybody
15 would think about this and put their two cents in,
16 you can e-mail me.

17 MARK SEDDON: Thanks. Any other questions for
18 Lisa?

19 JAMES FUTCH: I didn't mean to set off any
20 discussion.

21 LISA GAVATHAS: Thank you. I better take
22 notes.

23 MARK SEDDON: I thought it was something that
24 had come up recently, so I just wanted to get
25 clarification on it.

1 ADAM WEAVER: New inspectors.

2 MARK SEDDON: New inspectors. A lot of new
3 inspectors. So that's the other thing, too. A lot
4 of inspectors for a lot of folks out there probably
5 seeing a lot of new questions, which aren't really
6 off from the regulations. Just that it's a
7 current -- it's the view of the regulations from
8 somebody new in the field, so they're fresh.

9 ADAM WEAVER: They're looking at the dosimetry
10 report and go, I don't think this is correct and
11 how.

12 MARK SEDDON: Yeah, exactly. And they're
13 asking for like, where is your approval letters?
14 Where's your authorization to use for new --

15 LISA GAVATHAS: I didn't read that part in
16 information letters. I don't know if I have -- I
17 guess it's the one online.

18 MARK SEDDON: Yeah, the one online.

19 LISA GAVATHAS: Another thing, if you have
20 facilities that need a shielding plan and you don't
21 have one, you can contact, like, if you get a new
22 facility and you don't have a copy of the shielding
23 plan, a lot of times we will have the old shielding
24 plan. Sometimes it's handwritten, but I would be
25 happy to provide it. Because I have a lot people

1 that call and say, we just bought this building. It
2 has a vault and we're putting a new --

3 CHANTEL CORBETT: Right.

4 LISA GAVATHAS: -- Linac in it. And so -- and
5 they -- I mean, a lot of times, the facility, if
6 it's been abandoned, they don't have -- they didn't
7 leave the shielding plans behind, so you can call.

8 CHANTEL CORBETT: Right.

9 LISA GAVATHAS: And we don't have them all.
10 Maybe they weren't scanned in, but we do have some
11 older ones. Some that were back from the 80s, early
12 80s.

13 MARK SEDDON: All right. Any questions for --
14 it may be a future discussion point. Maybe -- I
15 think Rosevelt mentioned AI. So where AI is going
16 in imaging interpretation, it's really -- it's
17 exploding right now for those who are involved.
18 It's like -- we don't really have to have a lot of
19 physician oversight because everything is done
20 automatically.

21 CHANTEL CORBETT: With remote technologists.

22 MARK SEDDON: Remote technologists, that's
23 another thing.

24 JAMES FUTCH: If anybody sees anything from a
25 company, or they, themselves, want to give a

1 presentation, we'd love you to bring it.

2 MARK SEDDON: Yeah, I think that would be good.
3 Off topic.

4 LISA GAVATHAS: We've had a lot of requests
5 from operators, especially with CT, that they want
6 to use remote operators. We say, yes, they can as
7 long as there's another CT operator in the room,
8 which defeats the purpose.

9 JAMES FUTCH: Is anyone in the imaging field --
10 how do I say this. From several years back, the
11 question was inartfully put, radiologist versus AI.
12 Radiologist using AI. Does one replace the other
13 and how many decades. Is it anywhere close to that?

14 KATHLEEN DROTAR: A recent article, yeah.

15 ROSEVELT NHEIK: Well, from what I've been
16 gathering, it's the -- they're saying that, I heard,
17 is that if the radiologist who doesn't use AI will
18 be replaced by the radiologist who uses AI.

19 KATHLEEN DROTAR: A recent article that I came
20 across just said that it was, it was helpful. You
21 can't have one without the other yet, but that it's
22 enhancing some of the things that radiologists might
23 not necessarily pick up because of the algorithms
24 that get used.

25 MARK SEDDON: A lot of the algorithms now, I

1 mean, up to like finalizing the report, is basically
2 all automated within, like, image captured to within
3 six minutes they have a report and they have action
4 care path given where scheduling the patient. You
5 have to have that physician -- one piece still
6 remaining is having physicians sign off. So if you
7 talk to any of the big companies, that's sort of
8 what they're pushing as this is how it is going to
9 be very soon. And think back just a handful of
10 years ago, this didn't exist. Now it's across
11 multiple modalities. Like, if you go to RSNA or any
12 of the big image -- that's all it is. Like half the
13 vendor hall is all AI.

14 ALBERT TINEO: Oh, yeah.

15 CHANTEL CORBETT: Yeah. You have the
16 telereaders, you know, that can now do twenty sign
17 offs in the time that they used to be able to do,
18 like, two or three reads. You get paid per read.

19 MARK SEDDON: Yeah, so it's rapidly changing.
20 Some of them we probably need to pay attention to
21 from -- because it goes back to what Clark was
22 mentioning before, like interpretation of reports
23 and things like that.

24 CHANTEL CORBETT: Well, I mean, MR is obviously
25 one of the ones that's not --

1 ADAM WEAVER: It comes back who is going to pay
2 for it. The insurance companies.

3 JAMES FUTCH: The patient.

4 CHANTEL CORBETT: And the remote technologist
5 thing is getting --

6 MARK SEDDON: MR is the one area that remote
7 technologists is still, that's become a hot topic.

8 CHANTEL CORBETT: Right, and it's going to be
9 an issue with safety, I think, before anything else.

10 MARK SEDDON: Yeah.

11 KATHLEEN DROTAR: It's been a very hot topic at
12 ASRT meetings, there's a lot of back and forth. It
13 comes down to what you just said, there has to be a
14 real technologist in the room with the patient
15 having the scan.

16 MARK SEDDON: Right. Very true. All right. I
17 guess we jump over to -- I just want to talk a
18 little bit about CT. This is just an aside. It
19 wasn't really intending to be a whole topic. Raised
20 as a curious thing to -- James? So I apologize if
21 it's really hard to see, but I actually have it on
22 my laptop so I can see it.

23 JAMES FUTCH: Tell me what part you want to
24 see.

25 MARK SEDDON: So anyway, a couple things for

1 CTs. So actually, slide number two if you want to
2 real quick. Go back to it. Yeah.

3 So referring back to what Lisa was saying
4 about, you know, last month, there was an article in
5 the -- from the folks out of UC San Francisco.
6 Rebecca Smith-Bindman and those folks.

7 This is sort of -- they're the ones who created
8 the strong CT doses a number of years ago, right?
9 The ones that created all the, like I went to DC --
10 I was on the board at that time with DCM for some
11 stuff and discussions about CT, how much of a
12 concern this is, that tied back to some of the CT
13 neuro injuries occurring.

14 But their article that came out last month that
15 created a bit of a stir. You may have seen it. Is
16 they're just restating that the study that they had,
17 found that currently, based on current utilization,
18 radiation dose levels, based on CT exams in 2023,
19 would result in over 103,000 future cancers, over
20 the course of the lifetime of exposed patients and
21 that if that, if the practices continue, that CT
22 could account for five percent of all new cancer
23 diagnosis annually, which is very alarming.

24 But it's not really based on new data or new
25 information. Just the same data they've been

1 presenting for the last few years, right?

2 So I just want to bring this to peoples'
3 attention that this is something that did recently
4 come out. ACR, AAPM, a lot of different folks came
5 out with sort of responses to this. Basically
6 saying it's not new data. This is something we're
7 aware of. There is obviously a risk of radiation
8 when you're exposed to anything. CT is one of the
9 higher sources of radiation across the imaging
10 modalities. Obviously, the younger you are when
11 you're exposed to radiation, the higher perhaps
12 chance of risk of cancer.

13 And that, that is just a chart from the
14 article. It just shows that as the younger you are,
15 the higher your percent risk of cancer. The younger
16 folks don't get as many CTs as older folks do. Left
17 to right, that's age and then on the left side is
18 your risk of cancer and right side is number of CTs.
19 It kind of indicates that as you -- when you're
20 younger, you're very sensitive to radiation so
21 obviously, any CT will result in a higher risk,
22 relative risk, versus somebody who's older and you
23 get exposed to, to a CT.

24 But the -- but AAPM, I mean, basically, we've
25 known that this is true. The way that we do CT is

1 based on appropriateness, right? And that's the key
2 thing is like only appropriate studies should be
3 performed. Going back to what you're saying, a CT
4 is a higher exposed type of modality so we have to
5 make sure that only appropriate studies are being
6 performed.

7 So utilization of CT has gone up because it's
8 more available, but then also using it in the proper
9 or appropriate time is also being focused.

10 Another thing that is important to be aware is
11 the data that they're basing their risks on are old
12 data and not really valid. The assumption the risk
13 from CT scan is the same as somebody who's sick as
14 it is for somebody who is healthy is not quite
15 valid. So the risk of not having a CT study is
16 higher than the risk of a CT study.

17 So if you're sick and you have a CT study
18 performed, there's a reason why you're having it
19 done because it's diagnosing something. So if you
20 don't have it done, there's a greater risk to you as
21 an individual as a patient, versus the small risk
22 for the actual radiation from the CT.

23 So this is just an awareness. I mentioned it
24 to James if they had -- they were aware. I guess
25 Lisa, you had some call for that perhaps. So

1 there's a more -- anyone else seeing this as an
2 issue across your practices?

3 ALBERT TINEO: Yeah, we have some patients.

4 MARK SEDDON: Patients are concerned.

5 ALBERT TINEO: Concerned.

6 MARK SEDDON: And how are you guys responding?

7 ALBERT TINEO: Our response is very -- just
8 similar to what you say. If it's, if it's
9 appropriate and your physician believes it is
10 appropriate, then --

11 MARK SEDDON: Right. If there's a need for it.

12 ALBERT TINEO: The other portion of this was,
13 new, new CTs now are better, lower dose than the
14 older CTs. So that is also given in information to
15 the patient. And say, as technology has evolved --

16 MARK SEDDON: Correct. Technology has improved
17 over the last ten years.

18 ALBERT TINEO: Less of a risk, but it's still a
19 risk. But we just tell them, if your physician is
20 appropriately sending you to have something done,
21 then it's probably right.

22 MARK SEDDON: Right. The CT numbers have
23 increased significantly over the years.

24 ALBERT TINEO: Yes.

25 MARK SEDDON: That's really a lot of the

1 concerns, right? Utilization of CT is probably
2 overly, not conservative, but overly -- physicians
3 are overly conservative, so they order CTs to rule
4 things out. But, you know, if it's justified, it's
5 appropriate, then --

6 ALBERT TINEO: Right.

7 MARK SEDDON: -- it's a huge benefit.

8 CHANTEL CORBETT: I think at the hospital
9 level, we find a lot of education, especially ER
10 units for the doctors, just educating them on, like,
11 really checking to see if that patient already had
12 CTs recently, if they can use that data, if they
13 need to have another one done; that kind of thing.
14 So that education has gone a long way as well.

15 JAMES FUTCH: I wonder how this gets factored
16 into the patient self-referral for nonmedical.

17 KATHLEEN DROTAR: It's low dose.

18 CHANTEL CORBETT: You know, you have x-ray
19 techs who have the knowledge and understanding of
20 radiation, or should have the knowledge and
21 understanding of radiation, who are worried about
22 their own exposure being super high when it's not.
23 Versus, you have the public, who doesn't have the
24 education and they see low dose and they're like,
25 okay. Let's go do this. Let's go pay. So you have

1 people all over the map as far as education and
2 understanding and, you know, low dose in my head
3 versus your interpretation, you know, is
4 dramatically different.

5 KATHLEEN DROTAR: I was really being facetious.

6 JAMES FUTCH: Another thing for another
7 meeting, so thank you for --

8 ALBERT TINEO: Nice seeing you.

9 (Albert Tineo leaves council meeting)

10 DAWN SHEPARD: In the past, the number one
11 surgery was exploratory surgery. I think CT has
12 taken that spot where we, you know, basically the
13 exploratory surgery has, like, fallen off the map.
14 We're not doing those anymore. So in some ways,
15 like, we're saving lives because we're not opening
16 people up to exploratory surgery because of CT.

17 LISA GAVATHAS: Do we know the difference in
18 dose between a regular CT and a low-dose CT?

19 JAMES FUTCH: Is low fat the same as low dose?

20 LISA GAVATHAS: Is it a lot lower, is it --

21 JAMES FUTCH: Well, looking at that graph too,
22 because like you said, you've got the cancer
23 incidents on the left and number of CTs on the
24 right. Is there anyone that correlates cancer
25 incidents with dose from the CT actually or do they

1 not?

2 MARK SEDDON: No. I mean, they don't really
3 have that because it's --

4 JAMES FUTCH: Okay.

5 MARK SEDDON: Well, they have assumptions based
6 on epidemiologically.

7 JAMES FUTCH: You don't have --

8 MARK SEDDON: You don't have -- there's no
9 actual study that shows that a CT scan directly
10 causes a cancer, right?

11 ROSEVELT NHEIK: That's pretty much kind of
12 impossible.

13 ADAM WEAVER: You would need too big of a
14 population to consider that.

15 NICHOLAS PLAXTON: There's too many factors.

16 ADAM WEAVER: There's too many factors.

17 MARK SEDDON: Yeah, you can't do that
18 granularly.

19 SEAN WILSON: Not only that, but there's a --
20 that data is from 2010. It's report 160. Since
21 2010, we do not see a five percent increase in
22 cancers reported by the American Cancer Society, so
23 the direct correlation is there's no evidence of it
24 anywhere.

25 MARK SEDDON: We're not seeing evidence

1 anywhere. I think Nash came and gave a talk here
2 back when that first came out. But, yeah. So I
3 think it's, it is of interest and, but going back to
4 your low doses terminology. And there are, like,
5 for lung screening, there's, like, criteria for
6 low-dose CT scans, but when you're just making,
7 saying low-dose scan, anything says low-dose scan.
8 What does that really mean?

9 ADAM WEAVER: There's no definition of low
10 dose, acceptable approved definition, what's a low
11 dose?

12 MARK SEDDON: They have one for lung scanning.
13 There are some categories they do actually define
14 to, to meet that criteria. But if it is, they're
15 using a low-dose calcium score, whatever, that's
16 just --

17 ADAM WEAVER: Low dose is such a wide range
18 and, ages and body masses and whatnot.

19 ROSEVELT NHEIK: And what about image quality,
20 too? A lot of the low-dose stuff that I see is kind
21 of non-diagnostic. It's like a double-edged sword.
22 They have to get another CT.

23 ADAM WEAVER: They're not getting a good
24 benefit.

25 LISA GAVATHAS: They also get the low dose.

1 They're selling them the whole body on top of that,
2 so that's not good.

3 ROSEVELT NHEIK: Exactly.

4 MARK SEDDON: So the other piece, this is
5 something that, so CMS has, it is effective in 2025
6 and people are kind of catching up to this.
7 Required reporting is 2028 or 2027, sorry. But
8 there's a requirement that for reimbursement, that
9 it's a new, ACqM, a quality metric that you're
10 tracking excessive radiation dose and image quality
11 for diagnostic CT for adults. So this is a new
12 requirement.

13 So hospitals, all hospitals for CMS
14 requirement, are required to provide the percent of
15 CT exams in adults which surpass the established
16 limits. It's interesting because the person who
17 establishes limits are the same group that published
18 that paper we just were talking about out of UC San
19 Francisco. And these limits are pertaining to a
20 size-adjusted dose versus the global noise value
21 across 18 categories, which are in the bottom left
22 corner there, your most common categories of CT
23 exams. The patient, inpatient, outpatient and also
24 for physician reimbursement.

25 So the first one is a requirement is

1 outpatient. So every outpatient facility in Florida
2 that does CT on adults will be required to report
3 this in 2027. It was actually to be reported
4 earlier, but they moved it back a year because
5 realizing people aren't going to be able to keep up
6 with this. The initial steward of the metric is
7 actually a company called Alara, which is owned by
8 the same group out of UC San Francisco that
9 published the paper that just came out about CT
10 doses. But -- so you have to have a dose-monitoring
11 software of some type or at least, most of the
12 centers have the dose from the scanners available.
13 You submit your data to them, the software vendor,
14 that will go ahead and translate it and give you
15 scores on all your patients for both CT noise and
16 also the dose. Size-adjusted dose. And then you
17 can report that back out to CMS for determining your
18 reimbursement for the subsequent year.

19 So for 2029 payment, you'll be submitting two
20 quarters from 2027. That will be submitted in 2028.
21 That's how everyone -- so you're probably going to
22 hear, if you're not aware even aware of it, but this
23 is questions coming up recently because initially,
24 it was supposed to go in effect for 2025 and they've
25 been pushing it back for a lot of people to meet

1 this new requirement.

2 For those who use, like, an HR, there's
3 different ones out there, the bigger ones, they're
4 the ones who have to collect the metrics and put it
5 out and so they're all working with these different
6 software vendors to figure out how it works.

7 CMS did provide a clarification recently this
8 year. Actually, a month ago, two months ago, that
9 you don't have to use that software. We realized
10 now there's other metrics, other ways of doing it so
11 they're some dose monitoring or dose management
12 software systems that have already been capturing
13 and providing you your CT noise data, as well as
14 global noise data, you can use them to report out
15 your data as well. You don't have to use the Alara
16 company, although a lot of folks were initially told
17 they did. That's not true anymore.

18 So this is something else that's out there. So
19 this tied to the other CT article that just came
20 out, is raising a lot of awareness on CT dose, CT
21 noise and going back to what, where they're saying,
22 you know, obviously, if you go too low a dose in CT,
23 you're starting to impact image quality and you have
24 to have review studies, which is a problem for those
25 folks who are pediatric facilities and you have your

1 report to Leapfrog, right? That's another one of
2 the metrics out there for pediatric facilities. You
3 have to report. And that's focused only on your CT
4 dose.

5 So if you are above the 50th percentile, then
6 you are considered non-compliant and you score
7 lower. So everyone has been slowly reducing and
8 reducing your pediatric doses for CT and increasing,
9 increasing your noise in those subsequent exams. So
10 your image quality is going down, which for maybe in
11 a pediatric hospital where you have pediatric
12 radiologists who are used to reading through all the
13 noise, that's okay, but for most facilities, that's
14 not okay for their physicians. It causes a lot of
15 repeat. So a lot of folks are pulling out from
16 Leapfrog reporting the CT dose.

17 NICHOLAS PLAXTON: Actually, I can speak to
18 that. I actually read to, I read for, like, my main
19 reading is for Bay Pines for veterans, right? I
20 also read for Florida Cancer on the side and as well
21 as All Childrens in downtown. And so it's
22 interesting because the Florida, the VA has stayed
23 the same pretty much, even though we do try to
24 decrease the dose. But the image quality stayed
25 pretty good.

1 The Florida Cancer I've noticed, the image
2 quality has definitely gone down because they're
3 trying to get the lowest dose possible. And like,
4 it's to a point where now, the contrast is almost
5 like there's no contrast. And I'm like, this is
6 kind of a, are they going too far in my mind.

7 MARK SEDDON: Right. A lot of folks are
8 finding that that's the case. They've gone too far.

9 NICHOLAS PLAXTON: Yeah. But you still read
10 pretty safely. But the kids, it's a whole another
11 realm. When you go there, that's like it's
12 really -- you have to be kind of comfortable reading
13 that because it's, it's real grainy. And you really
14 kind of, even with the PETS, it's interesting
15 because they're using the absolute lowest dose they
16 can use.

17 MARK SEDDON: Again, it goes back to the
18 younger you are, the more sensitive you are to
19 radiation, but there's a point where you are too
20 low.

21 NICHOLAS PLAXTON: Over time, yeah.

22 MARK SEDDON: There's a point where you're too
23 low.

24 CHANTEL CORBETT: You have to find that happy
25 spot. You still have to have enough quality image,

1 like you said, where you're not repeating studies
2 because at that point, you doubled it anyways. So
3 you're better off to bump it up a little bit, get a
4 better quality doing it one time versus doing it
5 twice.

6 MARK SEDDON: Yeah. And that's what I mean.
7 So this -- the intention of this balancing the dose
8 with CT noise is what the target should be for
9 clinicians. The way they're doing this one is not,
10 not ideal. It's a little strong arm with one
11 pathway. So it's a little, you know, but, you know,
12 it is -- the intention should be, you want to
13 balance your dose with your image quality. Have
14 some way to standardize what you're looking at so
15 you can kind of set your protocols and make sure
16 you're giving the patient the appropriate. Because
17 this is very granular, right? This is for every
18 individual patient, there's a noise in the dose for
19 every single study. So it's not like in general
20 terms, this is actually, you're actually analyzing
21 thousands and hundreds of thousands and millions of
22 studies.

23 As you can imagine, there's a lot of data
24 floating around out there that is now, all these IT,
25 all of these companies are looking at it as an

1 issue.

2 So all right. I know Clark stepped away, but
3 any questions about --

4 NICHOLAS PLAXTON: I just want to say one more
5 thing.

6 MARK SEDDON: Sure.

7 NICHOLAS PLAXTON: Like now that I've assumed
8 the RSO because of DOGE at my facility, there's like
9 a, there's like a -- we had a patient that comes in,
10 they want to know their whole lifetime exposure. So
11 that's kind of interesting, because obviously, the
12 newer scans have all that data caps, you know,
13 captured. So you just look into whatever scans they
14 have done and it adds up. If anything before that,
15 you have to kind of manually calculate how much, you
16 know, figure out what studies they had done, when,
17 and that's not including outside the system, you
18 know. So it's kind of like, it's kind of
19 interesting because if you're using one system and
20 then you switch to another, I mean, like the
21 tracking system is going to be -- I see that being a
22 problem in the future going forward.

23 MARK SEDDON: Right. A lot of folks are
24 hesitant about lifetime dose. Lifetime exposure as
25 being something you want to provide. It doesn't

1 really make a whole lot difference.

2 CHANTEL CORBETT: There's just too many
3 unknowns about previous studies out there.

4 MARK SEDDON: Yeah, apples and oranges.

5 SEAN WILSON: Risk isn't cumulative. It's
6 individual.

7 MARK SEDDON: Exactly.

8 NICHOLAS PLAXTON: Yeah. I mean, it's probably
9 the best way to approach it. I mean, a lot of this
10 stuff is theoretical. You don't know if there's no
11 threshold that says that you're going to get cancer.

12 ADAM WEAVER: You can have acute and a certain
13 high range you're not going to see in medical.

14 NICHOLAS PLAXTON: Yeah, correct.

15 MARK SEDDON: Gambles policy, is that the term
16 they use?

17 SEAN WILSON: Even with this, you don't have to
18 use the Alara program. But the variability between
19 the Alara program and all the other algorithms that
20 are out there is significant. And so, what is the
21 utility of reporting all this? That's still going
22 to be in question. You're going to have to know --

23 CHANTEL CORBETT: You'll have to report apples
24 to apples.

25 SEAN WILSON: You're also going to have to

1 report the method by which you calculated this.

2 MARK SEDDON: Yeah. Because the limits that
3 they have established are based upon using Alara to
4 calculate the noise and the size of the specific
5 dose, which is proprietary to their software. So
6 they're not sharing that with other vendors in the
7 system out there, so everyone is sort of guessing,
8 well, what, I can't compare to what.

9 CHANTEL CORBETT: There should be a base
10 formula. You should be able to apply that across
11 the board.

12 MARK SEDDON: Sure.

13 SEAN WILSON: The Hounsfield unit. There's a
14 formula for Hounsfield unit. There's a formula for
15 noise.

16 MARK SEDDON: The global noise index is
17 something that is very variable based upon which
18 vendor you're looking at, so, all right.

19 I know, Clark, you want to talk a little bit
20 about --

21 CLARK ELDREDGE: I've got a couple things here,
22 but since we're talking about dose, let's continue
23 with that.

24 MARK SEDDON: Okay.

25 CLARK ELDREDGE: NCRP Report 184, if I'm

1 remembering my number correctly, was updated.

2 JAMES FUTCH: Do you want this?

3 CLARK ELDREDGE: No, I don't have anything to
4 show. Was an update to -- okay. Population of the
5 U.S. Is that 160? I don't remember anymore.

6 SEAN WILSON: 160.

7 CLARK ELDREDGE: So it was the medical update,
8 160, it was -- it showed how going to electronic
9 sensing and whatnot, that doses were actually going
10 up because, you know, oh, that makes such a pretty
11 picture. Let's tweak the MA a little bit more. And
12 then.

13 SEAN WILSON: Dose creep.

14 CLARK ELDREDGE: Yep, dose creep. And then
15 image wisely and gently came along and 184 said,
16 hey, we're on downward slope. Well, we're coming up
17 on the ten-year anniversary and NCRB has started the
18 process with AAPM, ACR, CRCPD. Who else was at the
19 meeting? To start reevaluating dose to the public.

20 So as part of that, ERC inspectors will be
21 going to facilities to get, part of their inspection
22 will be collecting dose information. Some will be
23 based on the actual measurements used for our
24 equipment; others will be based on reviewing
25 physicists reports. And any other -- and data for

1 facilities that have -- I have to say this right.
2 Set up dose monitoring programs or the -- seeing
3 what their protocol dose for different studies are.

4 So in collecting that data, along with the
5 inspections. And they will be getting that data
6 from the facilities will be submitted up to CRCPD,
7 who will then massage it and hand it over to NCRP.
8 So this will be going on, requesting all states to,
9 through CRCPD, to cooperate with this project. And
10 so -- so that's coming.

11 Data collection may be starting soon. It will
12 be discussed at the CRCPD national meeting this
13 coming week in Tucson.

14 Another thing that came around this legislative
15 session, to switch topics, was Senate Bill 832 and
16 House Bill 585. They actually made it through all
17 the committees. Senate Bill 832 was actually rolled
18 and bounced between the chambers and I guess leading
19 with the final at the end, it died in messages
20 because of various additions to it.

21 But this is a bill related to lands previously
22 mining phosphate. And the purpose of the bill was
23 to eliminate strict liability for the presence of
24 natural radioactive materials.

25 So the idea there was just because there was

1 uranium in the soil or radium in the soil, did not
2 make it a liability for the --

3 JAMES FUTCH: Landowner.

4 CLARK ELDREDGE: -- landowner. Actually, not
5 the landowner. Going back to the mining company.
6 Because they were the ones -- strict liability
7 concept, I'm not a lawyer, nor do I play one in the
8 meeting. But my very poor lay understanding was the
9 strict liability is going back to the original
10 source. What put it there to begin with. It's the
11 fact that the source actually exists is what the
12 liability is based on, not on actual exposure, not
13 on actual harmed caused, but the fact that there was
14 a hazard created or hazard present or potential
15 hazard present is strict liability.

16 Then you've got higher levels liability where
17 it actually takes people to cause something. You
18 actually make some actual enhancement or something
19 with knowledge or whatnot type thing. So that was,
20 again, it certainly looked like it was a -- the goal
21 was to reduce that strict liability for the mining
22 company; and therefore, potentially, the initial
23 developer of the property.

24 Now, to eliminate this, to eliminate this
25 strict liability, the bill required that the owner

1 of the land list it with -- list that the land was
2 previously mined for phosphate with the local, on
3 county, somehow connected to the actual property
4 deed or the property information. It also required
5 that the Florida Department of Health to provide a
6 free survey of the property.

7 JAMES FUTCH: Just the gamma.

8 CLARK ELDREDGE: Just the gamma, a gamma
9 survey, one measurement per acre.

10 ADAM WEAVER: One per acre?

11 CLARK ELDREDGE: One per acre. Now, mind you,
12 the setback side of this, it also said if the
13 landowner did not think that the measure the
14 department did was sufficient, that they could have
15 them come out and do a second measurement.

16 CHANTEL CORBETT: Still for free?

17 JAMES FUTCH: There's no mention of cost.

18 CLARK ELDREDGE: In any of this. No method of
19 fees collection, reimbursement, anything of all
20 this.

21 Now, for the -- if there was to be a lawsuit,
22 somebody suing over the radiation exposure, they
23 were going to have to hire a certified health
24 physicist, or a member of -- come on. NR, NR, RPT.
25 National Registry of Radiation Protection

1 Technologists, and they were actually going to have
2 to do a MARSSIM Study.

3 ADAM WEAVER: A MARSSIM Study?

4 JOSEPH DANEK: Who would be doing this?

5 CLARK ELDREDGE: A MARSSIM Study. A certified
6 health physicist or a --

7 ADAM WEAVER: NRRPT.

8 CLARK ELDREDGE: NRRPT.

9 JOSEPH DANEK: I know, but who is getting the
10 CHP or the NR?

11 CLARK ELDREDGE: The lawyers who are over the
12 liability.

13 JOSEPH DANEK: The property -- the lawyers for
14 the property.

15 CLARK ELDREDGE: The lawyers for the client
16 who's suing over it.

17 CHANTEL CORBETT: Right. The complainant.

18 CLARK ELDREDGE: So basically, this is a case
19 where lawyers came into town, right? This is not
20 too long ago. Got people in the development
21 concerned about the radiation exposure from --
22 because they're on phosphate mining lands. Got some
23 of them to sign on to sue the developer, which used
24 to be -- which developer was actually the mining
25 company that mined the land and then stopped mining

1 and started -- became a development company
2 developing all the lands they previously mined.

3 LISA GAVATHAS: Can you explain the MARSSIM
4 Study?

5 CLARK ELDREDGE: It's an EPA thing, multiagency
6 radiation survey and site investigation manual is
7 MARSSIM.

8 ADAM WEAVER: A lot of surveys.

9 JOSEPH DANEK: It sounds pretty complicated.

10 CLARK ELDREDGE: It's a statistical method of
11 taking a bunch of different samples a lot of
12 different ways and evaluating the risk from that.
13 So that basically kind of set a standard that we
14 would have to meet as Department of Health. And
15 looking at what the costs were and things like that,
16 and we were thinking that if it was -- we were to do
17 a one off, because the other part about -- just the,
18 in the landowner any time could request a survey
19 from us. So there was kind of a disconnect here so
20 that any subsequent landowner could request a survey
21 for free.

22 JOSEPH DANEK: Yeah, but you are doing one
23 measurement per acre.

24 CLARK ELDREDGE: Right. Well, or at minimum.
25 So let's go and say a neighbor, let's say that

1 because this is open ended, that it becomes part of
2 real estate. And every property in Lakeland, in
3 Plant City, in Riverview, in Mulberry.

4 ADAM WEAVER: Yeah. Anywhere near phosphate.

5 CLARK ELDREDGE: A place that was previously
6 mined for phosphate, they decide that prior to the
7 sale, they better get that survey done to be able to
8 have a report hand ready for -- so the fact that
9 what, a year ago, was a really slow real estate
10 year, right? Supposedly. And there was still 1200
11 real estate transactions in Lakeland and 800 in
12 Bartow and area and I forget, yeah, looking this
13 up --

14 ADAM WEAVER: You guys will be really busy.

15 CLARK ELDREDGE: We could've been. And then we
16 were looking at it and if we were doing a one off
17 study, right? Somebody just called us up and wanted
18 us to do a one off, that was going to cost us more
19 like 450 bucks, \$480, something in that range, for
20 us to actually go out with people because they were
21 coming from Orlando; the driving. When we do these
22 type things, we send out two people.

23 ADAM WEAVER: At least you're not making the
24 inspectors do it anymore.

25 CLARK ELDREDGE: This would've been the

1 environmental measurements folks. The ones doing the
2 pre and post mining.

3 ADAM WEAVER: We used to do it when I was an
4 inspector.

5 JAMES FUTCH: Depending on the workload, you
6 may be doing this for a long time.

7 CLARK ELDREDGE: Right. Well, to actually do,
8 for one measurement, when we looked at it was going
9 to be, was it 14? No, 24, excuse me, 24.2 FTE years
10 to survey the 680,000 -- no 550,000 acres.

11 JAMES FUTCH: That's what has been measured or
12 historically what's been mined?

13 CLARK ELDREDGE: Well, that's two different
14 figures. The 550 I think is historical because,
15 there's an estimate of 800 square miles that have
16 been mined and are subject to mining. And I can't
17 remember the --

18 CHANTEL CORBETT: Does this include the water
19 covered areas?

20 CLARK ELDREDGE: Well, there is some of that,
21 but separating those out, you have the settlement
22 ponds.

23 ADAM WEAVER: Those are very difficult to
24 survey.

25 JAMES FUTCH: Yeah. Well, think about gross

1 gamma from what surface? Are we talking -- what
2 distance are we talking? How are we measuring that
3 exactly? How are we maintaining -- can we go back
4 out and repeat the same measurement and get the same
5 answer? I mean, theoretical with the MARSSIM level
6 of involvement yes, but I don't --

7 CLARK ELDREDGE: So with discussions with John
8 Williamson, who is our administrator for
9 environmental, we were discussing about how we would
10 have to do some sort of gridding on each acre to
11 take samples.

12 JAMES FUTCH: Short inspectors, tall
13 inspectors.

14 CLARK ELDREDGE: -- and take measurements and
15 combining them into a single level in each acre.
16 But, you know, that's -- because currently, when a
17 homeowner, concerned homeowner calls us for their
18 own property, we generally grid it, the outside, and
19 then do walk through the house and find the hottest
20 spot and record that when evaluating someone's home.

21 So we're going to have to adopt something like
22 that because otherwise, they have an excellent, you
23 know -- anyway, the fact it went through so far --
24 so well, you know, it doesn't mean it won't come
25 back next time.

1 ADAM WEAVER: So it wasn't -- the bill wasn't
2 finally approved.

3 CLARK ELDREDGE: The bill was passed by the
4 Senate; taken into the House. The House basically
5 adopted the Senate one once it was passed because
6 they beat it to them. Whatever. But then the House
7 added a rider extending liability. There was a
8 whole additional legal liability language for
9 unrelated stuff added to the bill and it went back
10 to the Senate. The Senate said, no, we're not going
11 to let you do this. You need to think about, sent
12 it back to them saying, please remove your extra
13 language and we'll be fine. But the clock ran out.

14 While the current session is to be extended,
15 supposedly the extension is only for consideration
16 of budgetary matters, but you never know.

17 JAMES FUTCH: If that gets accomplished, it
18 will be good.

19 CLARK ELDREDGE: Yes, that would be wonderful
20 if they pass the budget.

21 JAMES FUTCH: You may be reading stories about
22 Florida government being shut down, in which case --

23 JOSEPH DANEK: What's the bill numbers again?

24 JAMES FUTCH: Bill numbers --

25 CLARK ELDREDGE: 832.

1 JOSEPH DANEK: I'm sorry. Can you repeat?

2 CLARK ELDREDGE: 832, 585.

3 JOSEPH DANEK: Yeah, 585. House bill?

4 CLARK ELDREDGE: House bill's the odd. Even is
5 Senate.

6 JAMES FUTCH: It's easier remembering it the
7 other way around.

8 CLARK ELDREDGE: House is always -- I'll let
9 you say that.

10 JAMES FUTCH: I knew the guy who came up with
11 that.

12 JOSEPH DANEK: 832, 585?

13 CLARK ELDREDGE: What's our time?

14 JAMES FUTCH: You're 15 minutes past me. 2:30.

15 MARK SEDDON: 2:30.

16 JAMES FUTCH: New business is at 2:45.

17 CLARK ELDREDGE: I guess we will --

18 JAMES FUTCH: Go ahead.

19 CLARK ELDREDGE: Okay. Sort of some discussion
20 here if anybody's got input. We all know that in
21 the medical field, the use of radiation, it's been
22 well established. The philosophy that you're
23 determining there's a need and the use for the
24 radiation exposure, and you've evaluated the risk
25 and determined that the medical benefit outweighs

1 the medical risk.

2 In jails and other facilities where they're
3 starting to use, where they've been using
4 transmission x-rays for several years now to search
5 people for contraband being brought in, there is no
6 formula, no protocol for that sort of evaluation.

7 So, excuse me. I'm trying to remember what it
8 was earlier that I was thinking about I was going to
9 ask for comment on. Because I'm currently a chair
10 of a national group, CRCPD, that is actually looking
11 into this issue and coming up with a -- so at this
12 point, the proposal or draft language, is basically
13 that they have to perform some -- in order to adopt
14 this, that you should be doing some sort of
15 evaluation, looking at your data of what you're
16 trying to prevent and what the risk is.

17 Obviously, you know, with radiation exposure
18 one death outweighs a whole lot of dose. One shiv
19 smuggled into a security place, you know, that will
20 -- but the actual issue is demonstrating that the
21 methodology and the, the individuals --

22 MARK SEDDON: Benefit.

23 CLARK ELDREDGE: -- benefit, individuals being
24 scanned are actually at risk for that. You know,
25 the desire to scan anybody walking across a security

1 line. The issue of using transmission x-rays to
2 scan for surface -- hidden items rather than
3 internal. The issues of if you're scanning the
4 whole body with a transmission x-ray, you're only
5 interested in one area, why are you scanning the
6 whole body with a transmission x-ray? You should
7 only be scanning the one area. So I guess that's
8 one question.

9 ADAM WEAVER: Well, isn't it, isn't it possible
10 they could be implanting it, themselves? I thought
11 that was one of the reasons they did the whole body.

12 LISA GAVATHAS: They wanted to scan everyone
13 that walks through the --

14 ADAM WEAVER: Yeah. I'm just talking about the
15 inmates. Someone who wants to bring something in.
16 They could implant it or have -- that's why they
17 wanted to do whole body rather than just the lower
18 abdomen.

19 LISA GAVATHAS: Do you know what the number one
20 thing is they're looking for for scan, when they're
21 scanning, are cell phones. They say that's the
22 worst thing that they can bring into the prison
23 system because that keeps them in touch with the
24 outside world and they can run gangs. I can't
25 imagine having -- I won't say that for the record.

1 ROSEVELT NHEIK: People are creative.

2 ADAM WEAVER: Use your imagination.

3 CLARK ELDREDGE: Well, I'm not sure -- I hadn't
4 heard about the implant thing before.

5 ADAM WEAVER: I thought I've seen that, that
6 was a long time ago when someone had, like a skin
7 flap purposely -- so I don't know if it was on the
8 chest or the back.

9 CLARK ELDREDGE: Interesting.

10 ADAM WEAVER: Yeah. That could be old.

11 CLARK ELDREDGE: And the question is, is any of
12 the -- I guess microwave, would microwave, the
13 various back scatter techniques detect that or not.
14 If the skin's enough to do the back scatter.

15 ADAM WEAVER: Depends on how thick your pocket,
16 how much skin is in front of the object, you know,
17 type of thing. All right. So what did you want
18 comments on?

19 CLARK ELDREDGE: Any thoughts about, any
20 thoughts about, yeah, because one consideration was,
21 yeah, again focusing on if you're -- it is if,
22 rather than using transmission x-rays, do you
23 need -- first of all, if you're only interested in
24 one part of the anatomy, you just focus transmission
25 on that.

1 Mind you, if you're having to do, if you're
2 looking at the entire GI tract, is the dose
3 difference, really the difference to do the whole,
4 you know, if you really do the whole GI tract of
5 somebody, what's the difference doing that and the
6 whole body practically.

7 ADAM WEAVER: Well, I guess they could swallow
8 it.

9 CLARK ELDREDGE: Actually, that was a big thing
10 looking for tea bags and swallow it. Well, with
11 inmates going -- with people going back into the
12 fully controlled area where you have the ability to
13 pass things. So that's one thing. With those
14 folks, when you're already giving a whole organ
15 dose, dose to all the organs, the extremity dose for
16 doing the other things is, you know, not
17 significant.

18 ADAM WEAVER: The equipment manufacturers
19 provide dose estimates for --

20 CLARK ELDREDGE: That's one of the other
21 things. With evaluating the hardware -- well, the
22 devices are -- yes, they're doing dose estimates
23 because they're limited to 25 --

24 ADAM WEAVER: Millirem.

25 CLARK ELDREDGE: Micro.

1 ADAM WEAVER: Microrem.

2 CLARK ELDREDGE: For scan. So the dose levels
3 are quite low. I mean, they're actually --
4 currently, limits are below public, dose to the
5 public for annual. Doing the 50, 25, come on, do
6 the math. I should have my notes in front of me.

7 MARK SEDDON: Is it daily?

8 CLARK ELDREDGE: No, no. This is annual.

9 MARK SEDDON: You don't have to scan on a daily
10 basis.

11 CLARK ELDREDGE: Or multiple times a day.

12 MARK SEDDON: Multiple times a day. So
13 probably the math works out where it's --

14 ADAM WEAVER: It's probably in the millirem, to
15 sum it all up. I don't know. I haven't looked at
16 the standard in a long time.

17 CLARK ELDREDGE: If I was online, I could pull
18 it all up because that actually was something I was
19 thinking about talking about initially.

20 MARK SEDDON: Okay.

21 NICHOLAS PLAXTON: They probably wouldn't have
22 to be scanned every day, though, right?

23 CLARK ELDREDGE: No, no. Well --

24 MARK SEDDON: Every time they enter.

25 CLARK ELDREDGE: Every time they enter. So if

1 you've got somebody on work release.

2 NICHOLAS PLAXTON: Oh, yeah.

3 CLARK ELDREDGE: And they're also looking at
4 the guards and scanning them.

5 NICHOLAS PLAXTON: Oh, yeah.

6 MARK SEDDON: Or visitors.

7 CLARK ELDREDGE: Or visitors. FDLE or, excuse
8 me, DOC has backed off on scanning all visitors at
9 the moment. So they're just currently doing
10 inmates.

11 MARK SEDDON: Yeah.

12 NICHOLAS PLAXTON: Those are the ones you're
13 worried about giving the inmates the --

14 CLARK ELDREDGE: But at the same time, they can
15 scan the inmates going back behind the line --

16 NICHOLAS PLAXTON: Yeah.

17 CLARK ELDREDGE: -- so that's --

18 NICHOLAS PLAXTON: Yeah.

19 ADAM WEAVER: Yeah. Doing the guards or other
20 personnel who work in the facility.

21 CLARK ELDREDGE: I'm somewhat amazed for the
22 descriptions of, in a room like this, with people
23 watching, where people are able to pull things out
24 of orifices and insert them into other orifices.
25 And I'm just --

1 JAMES FUTCH: Okay.

2 (Laughter)

3 ADAM WEAVER: That's going to be an interesting
4 risk/benefit analysis.

5 NICHOLAS PLAXTON: It's like a magic show.

6 MARK SEDDON: All right. James Futch.

7 JAMES FUTCH: Technology update. Two main
8 things. One, radiologist assistant. We have spoken
9 to ASRT. This is a long-standing issue. I've
10 spoken to the lawyers. Rosevelt and Kathy
11 separately have spoken to ASRT, pulled out the
12 document that's the practice standards for the Rad
13 Assistants. We have asked them for the things that
14 we would need to make sure that can be put into our
15 rules. We asked them for permission because it's
16 copyrighted material. And also, we have to post it
17 on the Department of State's website, the rule to
18 incorporate it by reference.

19 So they're talking up their chain to see what
20 exactly it is and how we should ask for that.
21 Hopefully that won't take very long at all. I
22 wouldn't think so. They must be asked this all the
23 time.

24 The intention is to replace -- I should show
25 you the rule, right? That's not it. Why am I still

1 showing that? I don't know why that's still
2 showing. There we are. I'm sure that helps.

3 Anyway, this is the section we're talking about
4 in the existing regulation. This is where we
5 currently have incorporated the rule delineation
6 from 2005, which is super specific. And so, what's
7 going to happen is, we're trying to just simply
8 replace that with a practice standard.

9 Talked to the lawyers on our side; they said,
10 yes. This is fine. Let's go ahead and try that.
11 We're not going to do anything with the entry level
12 clinical activities. This is all based upon
13 previous discussions with the counsel. The rule
14 delineation is in modern times called the entry
15 level clinical activities. The practice standards
16 is what we're trying to get to put here, and that
17 discussion is ongoing.

18 If we get good answers from ASRT, and the next
19 step is to do a notice of proposed rule making.
20 And, excuse me, notice of proposed rule development.
21 And we can probably have the language already at
22 that point. And there's no timeline I have for when
23 that's going to happen, but once it does, my
24 reference point for the most recent rule of
25 equivalent size that we're able to get through in

1 the same regulation 64A-3, two plus years.

2 So I would like to be able to say that soon it
3 will be completely out of our hands and into that
4 thing between us and when it makes its way out of
5 the other end of the regulatory sausage-making
6 process. Appreciate your help and support in all
7 that. All the trainings are running on the right
8 track. It's just a matter of getting the
9 appropriate okays for, for it to move forward.

10 CLARK ELDREDGE: Now you're at -- you're saying
11 that we're, that we're looking to request the
12 ability to post the actual?

13 JAMES FUTCH: Yeah.

14 CLARK ELDREDGE: Is there something changed in
15 120 or something that says we can't hold a copy at
16 our offices and a copy with the Department of State
17 for review?

18 JAMES FUTCH: We can. That's not how we did it
19 last time. In fact, if you go look at this very
20 regulation for all the other types of technologists,
21 we have the actual document incorporated by
22 reference and it is on our website. And that's --
23 that was a whole different group of people back
24 then. So I think maybe some folks have changed the
25 ASRT site. I don't know.

1 KATHLEEN DROTAR: What's happened with ASRT is
2 that the standards, instead of being specific to
3 each individual discipline, now it's by whatever the
4 practice is. And then it incorporates all of the
5 different ones under this section under use of, you
6 know, use of whatever. And then it's for each
7 individual. So that's the problem.

8 JAMES FUTCH: Right. We've moved past that.

9 KATHLEEN DROTAR: Oh, good.

10 JAMES FUTCH: We being me in the discussions
11 with our lawyer does not think that's going to be
12 problematic. So we'll just go forward and, and if
13 something stops along the way, then we'll find out
14 about it as we go along the way. That would've been
15 optimal, but that's why we're sitting here a couple
16 years after starting to do this, because that's just
17 not going to happen.

18 What I was going to talk about and Clark was
19 talking about was the mechanics of incorporating
20 some of the other groups' stuff into our stuff. We
21 started off asking for the way we did it the last
22 time where we get the copyrighted material and they
23 give us permission to put that in our material, and
24 I suspect they may not want us to do that anymore.
25 I think what they want us to do is, which seems

1 logical, which is why don't you just reference the
2 link on our website and incorporate the link by
3 reference and the answer is Chapter 120 doesn't
4 permit that because when they changed their
5 regulations on site, our regulation would change and
6 the regulated entity would not have a bite at the
7 apple to object to whatever ASRT's current standard
8 is, having changed in the original in between when
9 we adopted it.

10 It's a lot bureaucratic stuff. The news is
11 that we're moving forward with it and maybe by the
12 fall, we'll have some sort of -- it will be
13 somewhere along the way that I can tell you it's
14 somewhere along the way.

15 Let's see. What else?

16 KATHLEEN DROTAR: Can I pose a question?

17 JAMES FUTCH: Sure.

18 KATHLEEN DROTAR: So in view of it could take
19 two years or more, so --

20 JAMES FUTCH: How long did it take you to get
21 where you're at with yours?

22 KEVIN KUNDER: The first part of it published?

23 JAMES FUTCH: Yes.

24 KEVIN KUNDER: At least six years.

25 KATHLEEN DROTAR: So when the standard changes?

1 JAMES FUTCH: Right.

2 KATHY: Will that be --

3 JAMES FUTCH: No, it starts all over again.

4 KATHLEEN DROTAR: It starts all over?

5 JAMES FUTCH: That makes logical sense. That's
6 not what our Florida Chapter 120, Administrative
7 Procedures Act allows. It makes sense so if we
8 adopt what is now --

9 KATHLEEN DROTAR: Yeah.

10 JAMES FUTCH: You know, X, it's a beautiful,
11 you know, blue baby whale. Okay. We adopt it today
12 by reference with the group that says this is a
13 beautiful blue baby whale on our website and we go
14 through the process and two years from now, after
15 it's adopted, they change the blue baby whale to an
16 ugly rattlesnake or something. I don't know. You
17 never got a chance to say, I don't want to be
18 governed by an ugly rattlesnake. I liked the
19 beautiful blue baby whale instead. You never got
20 the chance, as the regulated entity subject to the
21 blue baby whale, to object to the change to the,
22 whatever I said, ugly rattlesnake. So that's why
23 that's not possible.

24 KATHLEEN DROTAR: Okay. Thank you. So the
25 other part of that is, do we need to wait until that

1 happens to look at the other modalities?

2 JAMES FUTCH: Clark, when do I retire? Four
3 years, right? Sooner than that, so -- his viewpoint
4 is much shorter. No. I would, I would not ever
5 wait for something.

6 KATHLEEN DROTAR: Like that to happen.

7 JAMES FUTCH: No. You're going to be sitting
8 here a couple years. Why did we wait and not just
9 move forward with something? My best guess --

10 CLARK ELDREDGE: The Department is currently
11 working on a -- actually, it's almost ready to go to
12 publish, a clarification on the use of mobile
13 devices. Because a, a group petitioned us for rule
14 making.

15 JAMES FUTCH: All righty. So I don't have
16 anything else new. And it is time for us, I think,
17 to discuss, Mr. Vice-chair, three minutes. I'm
18 sorry. Does anybody have any questions?

19 MARK SEDDON: Any other business or questions
20 for James regarding scope, technologists practice?

21 ADAM WEAVER: No, nothing.

22 MARK SEDDON: All right. So do we want to look
23 at next meeting, potential dates?

24 JAMES FUTCH: You have a September and an
25 October in the back of your packet, as well as, of

1 course, you have your phone.

2 MARK SEDDON: Right. I'm sure someone has the
3 Gator schedule. That's usually what people --

4 ADAM WEAVER: (Laughing)

5 JAMES FUTCH: If at all possible, normally we
6 end up going in the month of September, that is I'd
7 say the second week, sometimes the third week. I
8 would, I would respectfully recommend, if at all
9 possible, if the group could decide upon, for
10 example, the first full week of October, which is --

11 ADAM WEAVER: The 6th?

12 JAMES FUTCH: Tuesday is the 7th in this
13 calendar.

14 MARK SEDDON: Yeah.

15 JAMES FUTCH: So if we would like to hear from
16 the radiation safety officer at the Kennedy Space
17 Center for that talk that we told you about, he
18 needs to have it happen the beginning of the next
19 fiscal year for the feds. So the 7th would be
20 ideal, or the 14th or the 16th. That's a Thursday.
21 And I toss that out. Does anybody have anything
22 going on those dates that would be bad or good?

23 JENNIFER PETERSON: I like the 7th.

24 CHANTEL CORBETT: The 7th would be good.

25 JAMES FUTCH: Dr. Peterson says the 7th.

1 MARK SEDDON: Sounds good.

2 ADAM WEAVER: Good or bad?

3 JOSEPH DANEK: Sounds good.

4 JENNIFER PETERSON: Good.

5 ROSEVELT NHEIK: Hurricane season starts to
6 wind down then, too.

7 ADAM WEAVER: October 7th or 8th?

8 JAMES FUTCH: The 7th is a Tuesday. That was
9 only a suggestion.

10 CHANTEL CORBETT: October 7th.

11 JOSEPH DANEK: October 7th.

12 ADAM WEAVER: Fine. I'm seeing that as a
13 Wednesday.

14 MARK SEDDON: It's a Tuesday.

15 KATHLEEN DROTAR: The calendar is wrong.

16 MARK SEDDON: It's misleading with the way the
17 numbers are.

18 JAMES FUTCH: You've got to look at the boxes.

19 ADAM WEAVER: All right. Never mind.

20 MARK SEDDON: All right. We're not voting or
21 anything. Technically, we're agreeing without a
22 vote. We're going to do the Tuesday, October 7th,
23 as the next meeting.

24 KATHLEEN DROTAR: Sounds good.

25 MARK SEDDON: All right. Is there anything

1 else? Any other business? Any items, agenda you
2 want to bring up?

3 I know we talked about the nurse practitioners
4 before, but I think we're okay with that. James, did
5 you want to bring up --

6 JAMES FUTCH: Go ahead. So nurse
7 practitioners, I think, was an older topic. We
8 talked about performance of --

9 MARK SEDDON: Fluoroscope --

10 KATHLEEN DROTAR: Yeah.

11 JAMES FUTCH: -- fluoroscope. With regard to
12 468's authority, with regard to our Chapter 468,
13 which is the Rad Tech licensure, it exempts anyone
14 who is, quote, a licensed practitioner as defined in
15 that statute from being subject to the Department's
16 regulation. So underneath licensed practitioner is
17 a list of allopathic physicians, MDs, osteopathic
18 physicians, chiropractic physicians, all sorts of
19 any different way you can think of the word
20 physician.

21 And then at the very end of it it says or
22 someone in -- I'm paraphrasing -- or someone who is
23 otherwise authorized by law to practice medicine.
24 And a number of years ago -- and we don't get to
25 define what that, what that means. This has never

1 been defined in our statute.

2 So many years ago, we sought the counsel of the
3 Boards of Medicine and Boards of Nursing who govern
4 the physician assistants and the, I'll say nurse
5 practitioners. And those groups said, yes. For the
6 purposes of the Board of Medicine, PA is someone who
7 means is otherwise, under the category allowed by
8 law to practice medicine. And the Board of Nursing
9 said yes, nurse practitioner is someone who's
10 authorized by law to practice medicine and it makes
11 logical sense.

12 So because of that, we don't have any
13 regulation that really addresses, from 468's
14 perspective, what a nurse practitioner does or
15 doesn't do with fluoroscope. Theoretically, that's
16 within nurse scope of practice to do that.

17 Now, I'm not an expert on your scope of
18 practices. They may say we have issues with that,
19 whatever. But from our perspective, that's the way
20 our lawyers explained it to us.

21 MARK SEDDON: So in essence, it goes back to
22 the Board of Nursing to determine what the scope of
23 practice is for nurse practitioners.

24 JAMES FUTCH: Yeah.

25 MARK SEDDON: Right. That was just a -- we

1 talked about it around about before. I just wanted
2 to -- it was requested to bring it back up again.
3 So that was just the end to say we brought it back
4 again.

5 JAMES FUTCH: What they said years ago was,
6 both groups, in their own separate language, Board
7 of Medicine language, Board of Nursing language, the
8 scopes of those folks, they have an interesting
9 phrase. I always get this a little bit wrong. It's
10 basically, the scope of practice is those duties
11 performed by the supervising physician or the
12 protocol physician if they're in the case of the
13 nurse practitioner. This is the way it was years
14 ago.

15 Pursuant to basically the supervising
16 physician's determination that they have the
17 appropriate skill and ability and underneath their
18 appropriate supervision. They like to use the word
19 appropriate a couple different places to tie them
20 back to that, especially in the case of the PA, to
21 tie them back to that supervising physician.

22 In the case of the Board of Nursing, many years
23 ago when we did this, they tied it back to a
24 radiologist. But, of course, we asked the question
25 in the context of the radiologist, and they were

1 answering that question in the context of the
2 radiologist. So in their mind, that's a -- that's
3 who they answered in terms of.

4 None of this is something that we get to -- I
5 can't write a regulation under 468's authority that
6 says, nurse practitioner you must do this or don't
7 do this, or whatever it is with regard. I don't get
8 to write one for something that is completely
9 exempted from my statute. That's why.

10 MARK SEDDON: I thought it was interesting. I
11 know we have a nurse practitioner.

12 KATHLEEN DROTAR: That's a subject that came up
13 last week in a conversation I had with somebody, was
14 why the nurse practitioner was doing fluoroscope.
15 Now I can answer them.

16 MARK SEDDON: It's becoming a very common
17 topic. I had this question raised numerous times
18 this year.

19 JAMES FUTCH: And I haven't really followed
20 closely what's happened with the Legislature every
21 year and the scope of practice of the nurse
22 practitioner and the different kinds that or
23 extensions and changes that have happened with that.
24 But when we were asked about it many years ago,
25 there was a written protocol, and the Department,

1 the Board of Nursing required that be filed for the
2 nurse practitioner. I don't know if that's still
3 the case, Dawn, or not. And they would ask us about
4 it and we said, well, does it say something on the,
5 on the written protocol about x-ray? Does it say
6 something about imaging procedures in some general
7 or specific way sensed?

8 You know, in other words, we're not the experts
9 in this, but this is what it appears to be what your
10 group wants. Go talk to them and see, you know.
11 Maybe -- certainly our inspectors go and look. If
12 we see a PA performing procedures underneath a
13 supervising physician that's a radiologist or, you
14 know, I guess theoretically, a dermatologist who's
15 doing skin cancer therapy or something like that,
16 we're not going to cite them. And if the inspector
17 sees a nurse practitioner and we're not going to --
18 I won't say we -- I won't say anymore. Okay.

19 MARK SEDDON: All right. Thank you for that.

20 Any other comments, questions? I know we're at
21 the end of our meeting time. All right. I guess
22 we'll unofficially adjourn our meeting.

23 (proceedings concluded at 2:53 p.m.)
24
25

1 CERTIFICATE OF REPORTER

2 STATE OF FLORIDA:

3 COUNTY OF ORANGE:

4

5 I, RITA G. MEYER, RDR, CRR, CRC, do hereby certify
6 that I was authorized to and did stenographically report
7 the foregoing proceedings and that the foregoing
8 transcript is a true and correct record of my
9 stenographic notes.

10 I FURTHER CERTIFY that I am not a relative,
11 employee, attorney or counsel of any of the parties, nor
12 am I a relative or employee of any of the parties,
13 attorneys or counsel connected with the action, nor am I
14 financially interested in the outcome of the action.

15


DATED this 1st day of June, 2025.

16

17

18

19



RITA G. MEYER, RDR, CRR, CRC

20

21

22

23

24

25