

**FLORIDA TRAUMA SYSTEM
ADVISORY COUNCIL MEETING
SEPTEMBER 14, 2022**

**OMNI HOTEL AT CHAMPIONSGATE
1500 MASTERS BOULEVARD
CHAMPIONSGATE, FLORIDA**

**Reported By:
Cindy R. Green, Court Reporter**

* * * * *

COUNCIL MEMBERS PRESENT

* * * * *

LISA DINOVA, MODERATOR

CANDACE PINEDA, CO-MODERATOR

KATE KOCEVAR, TRAUMA SYSTEM ADMINISTRATOR

DARWIN ANG, MD

MARK MCKENNEY, MD

NICHOLAS NAMIAS, MD

LARRY REED, MD

MAC KEMP

JOSEPH IBRAHIM, MD (NOT PRESENT)

DAVID SUMMERS (NOT PRESENT)

GLENN SUMMERS (NOT PRESENT)

* * * * *

I N D E X

* * * * *

Proceedings	4
Call to Order	4
Roll Call	4
Opening Remarks	5
Old Business	6
Department Update	8
Florida EMS Advisory Council Update	13
Trauma System Assessment	15
Update on Florida Standards Review Subcommittee	16
Future Business	45
Public Comment	52
Closing Remarks	58
Adjourn	58
Certificate of Reporter	59

* * * * *

P R O C E E D I N G S

September 14, 2022

1:03 p.m.

(The EMS Trauma System Advisory Council meeting was called to order, after which the following took place:)

MS. DINOVA: So I'm going to officially go ahead and call this meeting together at 1:03. And I want to make sure that everybody is aware that we are recording this meeting via Teams and that we do have a court reporter live in the room for the record.

If we could, please everyone join us for the Pledge of Allegiance. (Recited.)

Thank you. Just going to do a quick look at the agenda here and we're going to go ahead and perform our roll call. Ms. Candace is going to do that for us.

MS. PINEDA: Okay. For roll call we have Dr. Ang?

DR. ANG: Present.

MS. PINEDA: Lisa DiNova?

MS. DINOVA: Here.

MS. PINEDA: Dr. Ibrahim? (No response.)

Mac Kemp?

1 MR. KEMP: Here.

2 MS. PINEDA: Dr. McKenney? (No response.)

3 Dr. Namias?

4 DR. McKenney: Mark McKenney here. Mark
5 McKenney here, sorry.

6 MS. DINOVA: Thank you.

7 MS. PINEDA: Thank you, Dr. McKenney.

8 Dr. Namias? (No response.)

9 Dr. Reed is present.

10 DR. REED: Here.

11 MS. PINEDA: David Summers? (No response.)

12 Glenn Summers? (No response.)

13 And Candace Pineda, present.

14 Kate Koccevar?

15 MS. KOCEVAR: Here.

16 MS. PINEDA: Present.

17 MS. DINOVA: All right. I show that we
18 have five members present at the moment. Maybe
19 a couple more will join online. That means that
20 we do not have a quorum for today's meeting so
21 we will be going through, looking at things,
22 making recommendations and hopefully for the
23 November meeting, we will have a quorum and be
24 able to vote.

25 All right. On that note, please make sure

1 that you reach out to your government relations
2 folks. I know that we have had a vast interest
3 in people joining this Advisory Council. We do
4 have some slots open as well as all of us are
5 back up for reappointment, so please have your
6 government relations folks reach out to the
7 Governor's office so that maybe we can get these
8 appointments fulfilled and be able to meet our
9 statutory requirements.

10 I want to cover some old business as we get
11 started here. Something that I know Kate and
12 her team have been working on is getting our web
13 page updated and getting down all of those old
14 documents that hadn't been updated in recent
15 times; getting the new things put up.

16 So we have -- I was going to try to share a
17 link here, but it's not going to let me; so let
18 me show it to you this way. You can actually go
19 to the DOH website and find the Trauma tab and
20 then we actually have a Florida Trauma System
21 Advisory Council tab.

22 So here you'll find all of the current and
23 upcoming agendas.

24 I'm sorry. Is someone on the line? (No
25 response.) Okay.

1 You'll also see the transcripts which is
2 what we use for the minutes for these meetings.
3 The official court reported transcripts will be
4 post there once the copy has been certified.
5 You will see any of the drafts and updated
6 products that the Workgroup has put together.
7 So Charter that the Council revised and approved
8 last meeting is up there for your review again.
9 Also, the draft of the Trauma Center Standards
10 that was completed in January of '22, that is
11 the current working document right now; however,
12 good news, the subcommittee is up and running.
13 We're going to talk about that in just a few
14 minutes. But you'll be able to find all of
15 these updated forms and any of the meetings that
16 will be coming up. The announcements will be
17 posted here. And then once we start having
18 Commons Hours Meetings again, those will also be
19 posted. So you'll be able to find all of the
20 latest and updated information for this Advisory
21 Council now posted on to that DOH website.

22 Bear with me as each time I switch screens,
23 I have to actually stop sharing and bring it
24 back up.

25 All right. There we go. Okay. So, I

1 think that was it for the website.

2 We do have an update from Ms. Candace. At
3 our last meeting we had talked about the EMSTARS
4 project that is going around the State and the
5 potential for the trauma centers to get involved
6 in that. And Candace had a meeting with the ESO
7 team, so I'm going to let her give us an update
8 on how that project is looking.

9 MS. PINEDA: Thank you. So immediately
10 following our last meeting, we had a call with
11 ESO, with the Department of Health.

12 THE REPORTER: Will you state your name,
13 please.

14 MS. PINEDA: Sure. This is Candace Pineda.
15 And immediately following our last Florida
16 Trauma Advisory Council, we had a meeting with
17 ESO, the Department of Health, the different
18 collaboratives to look at sharing our data that
19 most all trauma centers collect.

20 In previous versions of the National Trauma
21 Databank, there were specific EMS prehospital
22 interventions and filters that were collected,
23 and the latest version of the data dictionary;
24 removes some of those elements as mandatory.

25 So since we adopted whatever happens to the

1 American College of Surgeons, although most
2 centers still collect this data, it is not
3 automatically transmitted neither to the State
4 or nationally. So we had a discussion of if
5 those data points are in there, can the State
6 see them? Can we use them? Can we link this
7 data and look at it similar as we do for the
8 CARES data. So really looking at it in a full
9 hybrid model with Biospatial. So the answer to
10 that from legal team was essentially that we
11 would have to update the Florida Trauma Data
12 Dictionary to re-include or re-mandate those
13 fields so that it then can be viewed at the
14 State level. And we asked our legal team to
15 also please investigate if we could, in fact --
16 if centers already collect that data and are
17 willing to share, can that feed automatically
18 link? So those teams are looking into it. The
19 person that took that lead has since retired at
20 ESO, but their ticket is still in and they're
21 investigating how we can view this data that
22 we're all collecting.

23 Kate, do you have anything you want to add
24 to that?

25 MS. KOCEVAR: Yes. Thank you, Candace.

1 Kate Koccevar. I agree with that we have to
2 take a look at that because back in 2018 is when
3 the statutes went in and it was the
4 (unintelligible).

5 THE REPORTER: I can't hear you.

6 AUDIO TECHNICIAN: Step up to the mic.

7 MS. KOCEVAR: Okay. So, can you hear me?
8 Good. Okay. All right.

9 So, in 2018, it was decided that the
10 college data dictionary would be the one that we
11 would accept and not have any Florida-specific
12 fields.

13 So in this process we would have to open
14 the Rule, once again, to determine what specific
15 fields and, you know, obviously, we would have
16 to have workshops and hearings in order to make
17 all of this happen.

18 So, preliminarily, Candice is spot-on with
19 us trying to take a look at what is ESO's
20 ability to get that information out of what's
21 being sent. And then we would certainly have to
22 go the trauma community next to have those
23 further discussions on accepting what particular
24 fields we would like to see and then the idea of
25 then opening up the Rule and taking it from

1 there.

2 Thank you.

3 MS. DINOVA: Okay. So it sounds like that
4 would have to be -- it's going to be a really
5 long process to get that accomplished since we'd
6 have to reopen Rule and everything, so as we get
7 more information from the legal teams and from
8 ESO, we'll look at the proper form for that. It
9 may not be through here. It may be through the
10 AFTC or probably through the Florida
11 collaborative -- TQIP collaborative. So we'll
12 look at that as we get further details.

13 So we do have some updates to share. If
14 Ms. Kocevar would like to give the DOH
15 Department update.

16 MS. KOCEVAR: All right. Kate Kocevar
17 again.

18 So, as far as our updates are concerned, we
19 are currently doing the Standards. As we talked
20 about, we issued that track again to try to get
21 the activity moving once more that was dealt in
22 subcommittees prior to unfortunately COVID
23 hitting, and now we have resurrected and Laura
24 Hamilton has been gracious enough to become our
25 leader.

1 We had a preliminary meeting -- was it last
2 week, Lisa? That's correct?

3 MS. DINOVA: I think so. Laura's going to
4 give us a full update, too.

5 MS. KOCEVAR: Fabulous. I love it even
6 better.

7 So, as far as that is concerned, that is
8 something that we need to continue to move
9 forward as we take a look at that.

10 The TQIP collaborative is also going to be,
11 you know, certainly involved with taking a look
12 at what we were talking about prior with our ESO
13 fields and what we want to do there.

14 As far legislatively at this point, we do
15 not have anything that was put forth as far as
16 being a particular one item. We certainly have
17 sent in information to the legislative group
18 indicating some things that we'd like to see,
19 but at that point in time we do not have any
20 further feedback on that yet, so I don't have
21 anything to report.

22 So, right now, I think our biggest thing is
23 moving the Standards forward and then working
24 very close with the TQIP collaborative to see
25 what type of information. Data is certainly

1 very key to drawing new things and that's what
2 we're looking to do.

3 MS. DINOVA: All right.

4 MS. KOCEVAR: Thank you.

5 MS. DINOVA: Sounds great. Now, how about
6 an update from the Florida EMS Advisory Council.

7 Mr. Kemp?

8 CHAIR KEMP: Yes. We've been meeting all
9 week. This week, we will -- a formal Advisory
10 Council meeting will be tomorrow morning at 9:00
11 a.m. I believe in this same building here.

12 Things -- the main issues right now related
13 to EMS and Trauma would be EMSTARS 3.5 update
14 which is the NEMSIS update also. As far as
15 collecting data which includes all trauma data,
16 prehospital is on track right now for January
17 2023. We'll start that transition over. Some
18 agencies have already begun that process with
19 their vendors, so that will hopefully upgrade
20 some of the trauma and trauma alert information
21 that we collect.

22 We do, in my opinion, need to move, you
23 know, at least think about linking State Trauma
24 Data with EMSTARS, so that should be a future
25 project that we put on our agenda.

1 State Plan is moving forward. We're
2 waiting on the Department of Health to finalize
3 their State Plan so that we help match our plan
4 for EMS with that. Most of our work is already
5 done. We're just ready to connect it with the
6 general DOH plan, so I think that will work.

7 The other thing that's going on in Florida
8 as far as Trauma goes is I know there's many
9 more agencies right now that are looking at
10 adding whole-blood in the field. There's four
11 or five agencies that are currently doing it and
12 are having amazing results with critical trauma
13 patients. I know my agency is working on it
14 right now and there's several others also that
15 are in process. So I think we're going to see a
16 lot more of that.

17 Also, I know that there's some prehospital
18 whole-blood studies that are engaging right now
19 and I think we all want to be a part of that
20 process. And, as usual in Florida we like to be
21 the lead dog. If you're not the lead dog the
22 view never changes; so, we like to be in front.
23 So that's what we're trying to do.

24 That ends my report. Thank you.

25 MS. DINOVA: Mr. Kemp, can I ask a

1 question?

2 CHAIR KEMP: Yes.

3 MS. DINOVA: With the EMSTARS and the
4 NEMSIS updates, will that increase how many
5 departments are using that UUID?

6 CHAIR KEMP: Well, as far as emergency
7 calls right now, as of yesterday we are
8 currently connecting 97.3 percent of all scene
9 911 emergency calls. What we're not collecting
10 as much that we're starting to work on is trying
11 to collection data on inter-facility transports
12 because that does relate to trauma patients
13 also, that when a patient's been take to a
14 primary care hospital for immediate care and
15 then they need to be transferred to a trauma
16 center secondary.

17 So that's something that we need to --
18 we're working now to try to start picking that
19 up. And, hopefully, 3.5 will help us have the
20 fields to collect that data better.

21 MS. DINOVA: I'm hoping that it'll start
22 showing on some of the reports because I know in
23 my area right now only one of the BLSs are
24 printing that number on there for the hospitals
25 to see. So maybe the update will change the

1 recording, too. Okay.

2 I am getting a message, too, that
3 Dr. Namias is on the call.

4 DR. NAMIAS: Present.

5 MS. DINOVA: Awesome. Thank you. I got
6 that message through, so he's present for the
7 roll call.

8 MS. PINEDA: And we'll do a roll call at
9 the end.

10 MS. DINOVA: Thank you. Okay.

11 So, we've had some hints about the Florida
12 Standards Review Subcommittees and that
13 Ms. Laura Hamilton is heading that up for us.

14 Laura, would you like to give an update
15 about where those substandards come -- I was
16 going to say come up to the microphones so she
17 can hear you.

18 MS. HAMILTON: Laura Hamilton. Good
19 afternoon.

20 MS. DINOVA: Close to the mic.

21 MS. HAMILTON: Okay. Better.

22 THE REPORTER: Yes. Thank you.

23 MS. HAMILTON: So, Laura Hamilton, and we
24 met as a group on September 8th, actually, and
25 talked about how we were going to accomplish

1 this. And amongst the nine 2022 ACS Standards
2 we divided that up amongst the group for
3 everyone to review. And then, beginning
4 September 20th, we are going to have weekly
5 meetings to discuss as a group.

6 MS. DINOVA: All right. Great.

7 Does anybody have questions about that?

8 (No response.)

9 If you had not e-mailed me previously about
10 wanting to be a part of these subcommittees, but
11 still have interest, feel free to shoot me an
12 e-mail and I'll get your information sent over
13 to Laura. We're always looking for feedback as
14 the process goes on.

15 I don't see anything online.

16 All right. Thank you.

17 MS. HAMILTON: All right. Thank you.

18 MS. DINOVA: All right. Just trying to
19 keep the chat up, too, so I can see everybody.

20 All right. So, one of the things that we
21 discussed at our last meeting is we created the
22 updates to the Charter and our first priority
23 was to create the Florida Trauma System Advisory
24 Council recommendations to the Department of
25 Health so that they can work on that required

1 State assessment that they have to do every
2 three years.

3 Well, that State assessment is coming up
4 due next August, so we need to give them as much
5 time as possible to start pulling that data and
6 start crunching those numbers for us.

7 So, what I would like to do today is take a
8 look at this document -- hopefully we can make
9 some inline changes. We had discussed last time
10 about just using the previous recommendations
11 and just updating them for 2022, so that's what
12 I've gone ahead and done, making some changes
13 previous to today. So let me get that shared up
14 on the screen. All right. There we go. So
15 hopefully everyone can see this.

16 All I've done on the front page here is I
17 took out the DOH Proposal changed it to be the
18 FTASC Recommendations because that's really what
19 this is. They'll be making the actual
20 assessment later on, but this is our
21 recommendation to them about what that
22 assessment should require.

23 So, getting into the first sections -- I'm
24 hoping it comes. There's a little bit of a lag.
25 (Audio visual delays.)

1 All right. I hope you guys can read this.

2 So, in the overview, I left it exactly as
3 is and what it does is it goes through and
4 explains that the statute required to conduct an
5 analysis of the Florida Trauma System, the first
6 one was due August 30th of 2020, and then it is
7 due every three years after that.

8 The Florida Trauma System Assessments
9 intended to fulfil the following obligations in
10 that statute which is to identify trauma service
11 areas where a hospital is eligible for
12 designation as a trauma center or a trauma
13 center is eligible for a change in designation
14 pursuant to the statute.

15 Then also to determine if a hospital in the
16 TSA meets special trauma center application
17 review requirements as outlined in the statute,
18 and based on existing trauma centers in the TSE
19 exceeding patient volume requirements outlined
20 in the statute, which is in Appendix A. We'll
21 get -- we'll show you that. I don't want to
22 scroll to it right now because I'm afraid it
23 will stagger too long.

24 The Department is respectfully requesting
25 that the Trauma System Advisory Council provide

1 recommendations to the Department for the
2 purpose of developing and executing this
3 assessment.

4 My recommendation would be to remove that
5 line because that's a line from the Department
6 versus coming from us. Would that be suitable
7 to everyone? Okay.

8 And this lays out the actual requirements
9 from the statute that are -- have to be in the
10 assessment and that shows us that we have the
11 population growth for each TSA for the State
12 utilizing estimates from the US Census Bureau,
13 so it even tells us where we have to get the
14 data.

15 Number of high risk patients treated at
16 each trauma center within each trauma service
17 area including pediatric trauma centers. The
18 total number of high risk patients treated in
19 all acute hospitals including non trauma centers
20 in each trauma service area. And the percentage
21 of trauma center sufficient volume of trauma
22 patients, plus additional caseload volume
23 requirements for those trauma centers with
24 graduate medical education programs.

25 The statutes requirements do not provide

1 the general framework for performing the
2 assessment, but it does not clearly outline a
3 number of technical definitions and
4 calculations; so we must provide the
5 definitions.

6 So previously, we had defined critical care
7 and trauma surgical subspecialty medical
8 resident or fellow as described by the statute.
9 We had laid out that the methodology for
10 determining the number of critical care and
11 trauma surgical subspecialty medical residents
12 or fellows at each trauma center. Definition of
13 acute care hospital and also that we needed to
14 have a procedure for calculating the ICISS from
15 hospital discharge data available from the AHCA
16 data set.

17 Any recommendations for changes on this
18 page? I'll also make sure to get this out to
19 you prior to the next meeting since we can't
20 have the official vote. (No response.)

21 We also had to define the time period or
22 version of ICD-10 Codes for calculating the
23 survival risk ratios. And, in addition, that
24 statute doesn't address the unavoidable step of
25 converting from ICD-9 to ICD-10. What I would

1 say is I think we have been to ICD-9 -- away
2 from ICD-9 long enough that we could probably
3 take that out because I think everyone is one 10
4 now.

5 So I added that this now history, that in
6 order to develop a procedure to complete the
7 assessment, the Department presented the FTSAC
8 with an overview of the assessments requirements
9 and challenges during a Commons Hour conference
10 call on February 12th of 2019, and that
11 presentation included an initial set of
12 definitions, procedures and recommendations that
13 had been developed by the Department staff. And
14 then per the Council's recommendation, the
15 Department invited all trauma centers and acute
16 care hospitals to attend an informal webinar on
17 March 1st for the purpose of soliciting feedback
18 from the stakeholders.

19 The Department provided stakeholders the
20 same presentation that was given to the Advisory
21 Council members of their Commons Hour call and
22 subsequently opened a 15-day comment period to
23 allow stakeholder feedback and recommendations.

24 I took out all of the feedback that was
25 receive because, again, this just for history.

1 When we hear for the 2022 update, the Advisory
2 Council reviewed and revised the 2019
3 recommendations and allowed for public comment
4 at the September 14th, 2022 Advisory Council
5 meeting so we're going to open this up for
6 comment here in just a little bit and take
7 feedback, and it will probably have a little
8 more feedback at the next meeting when we go
9 through the final draft for vote.

10 So our recommendations at that time, the
11 Department took the following into consideration
12 when developing the recommendations for
13 consideration. They took in the statutory
14 alignment. The Department is prohibited from
15 deviating or expanding the scope of the statute.

16 Transparency. We are advocating use of
17 publicly available populated data sources so
18 that anybody can pull the same data.

19 Methods for gathering, synthesizing and
20 presenting information should be completed using
21 clearly documented processes and procedures and
22 it should be a consensus.

23 Definition and calculation should be
24 created in partnership with all the stakeholder
25 groups.

1 So previously our recommendation number one
2 was for the Florida Trauma System assessment
3 should contain three parts.

4 The first part shall contain the
5 information required for the Department to
6 fulfil its statutory functions.

7 Part two shall contain the Trauma System
8 analysis and recommendations made by the
9 Advisory Council after we receive the data.

10 And the third part shall contain any public
11 comment, analysis or recommendations received by
12 the Department from the Trauma System
13 stakeholders.

14 If you recall or if you have that document
15 from before you will actually go back to an
16 appendix and every single letter that was sent
17 in and all of the public comment that was
18 recorded at the Commons Hour meetings was
19 actually attached as an appendix to the
20 assessment, so that will come with the actual
21 assessment.

22 The Department recognizes that the
23 statutorily prescribed assessment requirements
24 are not the only means for evaluating Florida's
25 Trauma System. In an effort to create a system

1 evaluation tool that comprehensively addresses
2 all system needs, the Department recommended a
3 three-part assessment described above for these
4 reasons. So we recommended to them that it
5 needed to contain everything that was laid out
6 in the statute and they came back to us and say,
7 yes, we agree. Okay.

8 Part two provides a means of assessing
9 Florida's Trauma System utilizing contemporary
10 measures, subject matter expertise and
11 departmental resources.

12 Part three should ensure that all
13 stakeholder groups have the opportunity to
14 provide recommendations, comments or include
15 analysis that will be captured in the assessment
16 and creates a comprehensive assessment that can
17 be referenced by policymakers when evaluating
18 future changes to Florida's Trauma System.

19 I think I would recommend, and you guys let
20 me know, but I think I think we should change
21 some of these because I believe that when this
22 was written before, there was someone from the
23 Department of Health who was helping us write it
24 and some of the wording is kind of from their
25 viewpoint versus our recommendations to them, so

1 I would just make some language changes to say
2 like part three should ensure, not that it does,
3 because they're going to do that part later on.
4 So, if you guys are okay with that, I will make
5 those updates, too, as we go. Okay.

6 Recommendation number two came in as
7 population and population growth rates shall be
8 calculated using the most recent five years of
9 population data available from the American
10 Community Survey, five-year estimates by the US
11 Census Bureau as directed by the statute, and to
12 disseminate the ACS Population Data the
13 Department shall use American FactFinder
14 Populated Florida County Population Tables to
15 calculate TSA population and growth rates. And
16 the Department shall calculate growth rate an
17 the TSA based on the percentage increase or
18 decrease of the total population of the counties
19 and the TSA from Year 1 to Year 5. So this is
20 just laying out that they're going to use data
21 that anybody can pull and what their methodology
22 is going to be.

23 We recommend that the use of the most
24 recent five years of population data available
25 from the ACS five-year estimates by the US

1 Census Bureau is prescribed by the statute, and
2 the Census Bureau ACS website outlines several
3 tools that can be used to disseminate that data
4 in order to ensure accuracy, transparency and
5 efficiency. And they -- we would recommend the
6 use of the Populated Florida County Populations
7 Chart available through the American FactFinder
8 tool.

9 I deleted out Appendix C because that
10 actually will be part of the actual assessment
11 versus the recommendations and that what
12 Appendix C was is all of those charts of
13 population that they had pulled and the
14 statistics that they used to create their
15 findings. So that will actually be part of the
16 actual assessment versus part of the
17 recommendations.

18 Recommendation number three was that for
19 purposes of the assessment an acute care
20 hospital shall be defined as a facility licensed
21 under Chapter 395 of the Florida statutes that
22 has presence of a dedicated emergency room
23 department on the hospital emergency services
24 inventory which is published by AHCA in
25 accordance with the statutes.

1 So we went on to further define that the
2 terms "acute care hospital" is not defined by
3 the Florida statutes. So for simplicity the
4 Department should use the definition of acute
5 care hospital include all healthcare facilities
6 licensed as a hospital and be disseminated using
7 the AHCA's Florida Health FactFinder -- Health
8 Finder-Facility locator tool.

9 The definition would have to include
10 specialty hospitals, long-term care, psychiatric
11 facilities, State prison facilities and
12 hospitals without emergency rooms that do not
13 routinely care for injured patients. The
14 initial recommendation was based on the
15 anticipation that the hospitals did not
16 routinely care for injured patients would likely
17 have a minimal impact on the number of high-risk
18 patients in each TSA.

19 However, there was feedback received during
20 the comment period that it should be clearly
21 demonstrated that stakeholders felt the
22 definition an acute care hospital should include
23 facilities with an emergency department, so they
24 amended the initial definition again. I think
25 this is just language changes to show that this

1 is how we're getting those calculations.

2 So, the Department also sought an
3 alternative data source that more clearly
4 identified hospitals with emergency departments
5 and requires AHCA maintain an inventory of all
6 hospitals with emergency department
7 capabilities, which was Appendix D, which
8 actually I also deleted out of because it was 40
9 pages of every hospital listed in the state of
10 Florida and what their capabilities were, and
11 what their AHCA volumes were. So, that will be
12 part of the -- again, part of the assessment
13 versus part of the recommendations.

14 Hospital Emergency Services Inventory
15 publicly available. And hospitals with 24-hour
16 emergency departments can easily be identified
17 without manipulating any of the data. The use
18 of the Hospital Emergency Services Inventory
19 Data Source is recommended over the Florida
20 Health Finder because it requires less data
21 manipulation to identify facilities. Basically,
22 it is a much more concise tool to be utilized.

23 Recommendation four was to define the
24 high-risk patient as a trauma patient with an
25 ICISS injury score of less than 0.85 and, again,

1 changing the language just to show that this the
2 calculation that is used off of ICD-9 based on
3 the prediction model that calculates the
4 likelihood of survival in an injured patient
5 based on the assumption that all injuries
6 contribute to the overall severity.

7 The Department shall calculate the ICISS
8 score based on those data points. I'm not going
9 to go through all of this for you, but we can --
10 this is just telling where the Department got
11 it. Again, I think a good portion of this would
12 be moved over to the actual assessment and not
13 in the recommendation fields.

14 DR. ANG: (Not using microphone.)

15 MS. DINOVA: Yes. They have the reference
16 listed here also that -- yes, sir. The proposed
17 ICISS definition was based on definition found
18 in this particular journal and article here.

19 DR. NAMIAS: May I say something about the
20 ICISS score?

21 MS. DINOVA: Yes, please. State your name
22 for the court reporter, please.

23 DR. NAMIAS: Dr. Namias. I know it's in
24 there, but I think it's a horribly-flawed thing.
25 It gives a lot of weight to things that are --

1 that can be suffered in a serious trauma and it
2 gives them the same weight if they were suffered
3 falling off a park swing.

4 So things like a forearm fracture carried a
5 lot of weight because you break your arm when
6 you crash your car and have a TBI and a bunch of
7 rib fractures; you also have a forearm fraction.
8 The forearm fracture gains a lot of weight.

9 I never liked this system. I wish
10 Dr. Chesla (ph) was still with us. He would
11 argue for it. Maybe Dr. Ang may have done some
12 work with him on it. I'm not sure if Dr. Ang is
13 here. But I think the ICISS is flawed. I don't
14 think we can change it at this point in time in
15 this round. But as a major goal, I think
16 (unintelligible) should look at other systems.

17 DR. ANG: So I can provide a little bit of
18 clarity for ICISS.

19 So, when you look at something like a
20 forearm fracture that Dr. Namias speaks of, it
21 looks at those that died with a forearm
22 fracture. So, sometimes polytrauma patients can
23 have forearm fracture plus a liver injury, plus
24 a pelvic fracture. And so the forearm fracture
25 will get the death probably because of the

1 polytrauma.

2 However, having said that, you're not going
3 to die from a forearm fracture unless you have
4 other injuries. And so some of that gets
5 diluted out from these other forearm fractures
6 from falls. And so, you know, I will say, you
7 know, in defense of Dr. Namias' position that
8 this ICISS scoring is based on ICD-9 coding not
9 ICD-10. And so it hasn't been validated really
10 for ICD-10 coding, although a lot of people use
11 it because it makes sense, but that's something
12 to consider.

13 So, it's not perfect because it hasn't been
14 validated for the updated coding probably, you
15 know, as far back as 2017.

16 So, in any case, I like the ICISS coding
17 because it is a rough estimate. Although
18 Dr. Namias is right, the forearm fraction gets
19 counted as a death in polytrauma or single
20 trauma, that percentage gets diluted out because
21 there's far more people with, you know, forearm
22 traumas that don't die and that's why the
23 survival risk ratio works. That calculates the
24 SSR, sorry, the SRR. And then if you have a
25 liver injury that gets included in there as

1 another one. And then a DBI is another SRR and
2 that's the product of all those give you the
3 whole ICISS or the ICISS scoring.

4 So I probably would, you know, say that
5 this is the best that we have at the moment, but
6 if there's any other, you know, risk calculators
7 that are better, you know, I'd like to hear
8 about them.

9 MS. DINOVA: This is Lisa again. I think
10 the other thing that we'll have to verify, too,
11 is I believe that the statute actually laid out
12 the scoring methodologies that we had to use for
13 some of this data. So I'll pull the statute
14 back out and look and see. I know it tells us
15 that we have to use the AHCA data set versus the
16 Trauma registry, which we're a little
17 disgruntled over, but it's there now in the law,
18 so we have to follow that.

19 I feel like it told us that we had to use
20 ICISS scores also, so I will make sure that I
21 pull that statute and bring it for us next time
22 as well.

23 DR. ANG: I believe you're correct.

24 MS. DINOVA: I think it's laid out in
25 there.

1 DR. ANG: You know, in older times we used
2 to use TRISS scores which were even worse, so
3 the ICISS scoring I think is better than TRISS.

4 MS. DINOVA: Okay.

5 DR. REED: In the future we're going to
6 have this gizmo called a crystal ball.

7 MS. DINOVA: I thought we had that now.
8 Okay.

9 So we'll leave this in for now and, again,
10 just make some wording changes to make this more
11 of a recommendation versus -- I think what
12 happened is the recommendation went out plain
13 and then some of the what happened also got put
14 into the recommendation documents, so I'll clean
15 those out for us.

16 Recommendation number five revolved around
17 that the Department shall define survival risk
18 ratio, as we were just talking about. An
19 estimate of survival associated with each injury
20 field related to the ICD-10 codes, injured
21 patients treated in Florida trauma centers
22 during the previous five years and the
23 Department may utilize a conversation tool
24 developed by the United States Department of
25 Health and Human Services CMS to convert ICD-9

1 to ICD-10. Again, I think we're probably beyond
2 that now. For the purposes for calculating the
3 SRRs where only ICD-9 codes were available in
4 the AHCA discharge data set. I'm assuming that
5 everyone is up to ICD-10 now and that the AHCA
6 data set only includes that. All right.

7 The Department was recommending the use of
8 five years of ICD-10-based SRR scores for
9 calculating the ICISS and that's a procedural
10 change from the Department's previous use of
11 SRRs based on 20 years of ICD-9 data. They
12 acknowledged that the 2020 assessment it must
13 perform conversions from ICD-9 to ICD-10 for
14 at least one year of data and the use of five
15 years has definite advantages. So following a
16 2020 assessment, the Department would no longer
17 be required to do any of the ICD -- I guess that
18 answers my questions -- I forgot about this --
19 required to do any ICD-9 conversions until the
20 AHCA mandated ICD-10 goes to 11 or future
21 versions. And then the continued use of
22 20-years-based SRRs would require the Department
23 to perform ICD-9 conversions on all assessments
24 through the year 2036. So for five years they
25 won't have to do that conversation anymore. For

1 20 years, they would.

2 We recommended that the Department should
3 define critical care and trauma surgical
4 subspecialty medical residents or fellows as
5 followed, and this was our recommendation to
6 them was any -- an individual who is enrolled in
7 an ACGME accredited or AAST approved program in
8 general surgery, surgical critical care, acute
9 care surgery, orthopedic surgery or neurosurgery
10 that is matched or assigned to a hospital
11 designated by the Florida Department of Health
12 as a trauma center.

13 Trauma centers are prohibited from
14 declaring an individual as a resident or fellow
15 if an individual takes rotations, provides
16 clinical services or otherwise employed, but is
17 matched or assigned to one of these programs at
18 another hospital.

19 Trauma centers are permitted to declare an
20 individual as a resident or fellow that is
21 matched or assigned to a qualifying approved
22 program at their hospital, but takes rotations,
23 provides clinical services or is otherwise
24 employed at another hospital.

25 I want to make sure that we look at this

1 and these definitions. This was a topic of
2 conversation when we were making our first
3 recommendations several years ago. And this is
4 where we all landed. So I want to make sure
5 that we are still in agreeance that these
6 definitions still apply as meted out on here.
7 And I'll give you a second to read it because I
8 know it's a lot.

9 DR. ANG: Do we know why we included
10 orthopedic surgery or neurosurgery as part of
11 the critical care and trauma surgical
12 subspecialty resident or fellow?

13 DR. REED: Yeah. I think that's because
14 those specialties interact with trauma patients
15 fairly significantly.

16 DR. ANG: Okay.

17 MS. PINEDA: This is Candace Pineda.

18 I believe in part of the discussion at that
19 time some of the other surgical specialties
20 rotated on trauma --

21 DR. REED: Right. That's true.

22 MS. PINEDA: -- as part of the rotation, so
23 you may have LMFS residents or orthopedic
24 residents rotating in trauma.

25 DR. ANG: We also had anesthesia and EM

1 residents rotating through trauma as well.

2 DR. REED: I'm not sure that's as common.
3 I mean, we don't have our anesthesia rotate
4 through trauma.

5 DR. ANG: I know EM is very common.

6 DR. REED: Yes.

7 MS. DINOVA: So would we want to add EM to
8 this existing list.

9 DR. REED: This is one of those numbers
10 games, you know, and that's the challenge of
11 who's deck are you stacking?

12 DR. ANG: All I'm doing is answering the
13 question, right? And the question here says
14 critical care and trauma surgical
15 subspecialties, medical resident or fellow. So
16 I'm okay either way. If you just say it's only
17 general surgery related by the AAST or, you
18 know, any general surgery residency program or
19 surgical critical care fellowship, that's fine.
20 But if we're adding ortho and neurosurgery, then
21 where do we stop from there?

22 MS. DINOVA: And I think that's what some
23 of the conversation was last time.

24 To be perfectly honest, I don't have this
25 program at my organization, so I'm not as well

1 versed in all of these different specialties,
2 subspecialties, the different levels of the
3 residencies. So I look to you guys that are at
4 our training facilities to help out with this.

5 You're right. We have to draw a line
6 somewhere. So I think this is where we decided
7 a few years ago to draw that line, was to leave
8 it directly with the actual -- the surgeons that
9 are the component of it versus the other types
10 of providers, the medical providers. I think
11 that -- if I remember correctly, that was how
12 the conversation went is that these are
13 different surgical components.

14 MS. PINEDA: Reading the next two
15 paragraphs or sentences it seems to define or --
16 remind us of that conversation.

17 It says "the suggestions range widely from
18 different specialties". And then it said "some
19 would include emergency medicine". And then
20 developing recommendations they sought to
21 include programs that best aligned with the key
22 surgical specialties outlined in ACS.

23 DR. NAMIAS: So an emergency medicine
24 resident isn't necessarily there to learn
25 trauma. They're not necessarily a trauma

1 resident.

2 Orthopedic surgery residents aren't
3 necessarily trauma resident. But places that
4 have OTA-approved orthopedic trauma fellowships,
5 that is sort of trauma (unintelligible).

6 And also there are fellows in some places
7 in neurotrauma. So that makes sense. And those
8 really are -- I mean, all the TMVs know that
9 that's our core thing is general surgery,
10 orthopedic surgery, neurosurgery. Everything
11 else is a little peripheral.

12 I'm okay with it as is. I don't think
13 adding emergency medicine makes sense because
14 there's a lot of emergency medicine residents in
15 places that don't do trauma.

16 MS. DINOVA: Thank you, Dr. Namias.

17 DR. GINSBERG: Can you hear me?

18 MS. DINOVA: I can. Who is that, for the
19 court reporter, please?

20 DR. GINSBERG: This is Dr. Ginsberg.

21 Nick, I just want to remind you that the
22 emergency medical residents, the actual
23 rotations now require all EM residents to rotate
24 through a trauma center.

25 MS. DINOVA: Okay. Thank you,

1 Dr. Ginsberg.

2 So that's why I wanted to bring this back
3 up. Because I knew that we had discussed it in
4 great detail a couple of years ago when we first
5 went through this. So do we want to leave it as
6 is with just the surgical components listed? I
7 know it talks about EM down here, but it doesn't
8 look like we included it in the definition.

9 It says here in developing the recommended
10 definitions, the Department sought to include
11 programs that best aligned with the key surgical
12 specialties outlined in the optimal care of the
13 injured patient books. So we would look for --
14 we could look to the grey book to see if it has
15 a definition, but I've just combed through that
16 thing as best as I could and I don't remember
17 seeing that.

18 I know many of you have looked through the
19 grey book as well. Do any of you all recall it
20 defining surgical specialties? I mean, it does
21 have the section on surgical specialties and we
22 could just use that.

23 DR. NAMIAS: EM is not a surgical
24 specialty. It's emergency medicine.

25 MS. DINOVA: Right. And I think that's

1 what we decided the last time. That's why this
2 last paragraph here is about -- that we deferred
3 to basically to orange book at that point to
4 define surgical specialty. So we could do the
5 same thing this time. It doesn't talk about it
6 in residencies, but it does have a section on
7 surgical specialties listed and we could just go
8 with the ones that are -- the primaries listed
9 on there. And I think it wind up -- we would
10 wind up back to the same five or six that we
11 have listed in the above paragraph.

12 Is that what we'd like to do?

13 DR. NAMIAS: I think we beat this to death
14 last time.

15 MS. DINOVA: Yeah.

16 DR. ANG: I mean, if it's strictly surgical
17 specialties -- this is Darwin Ang. It make
18 sense just to limit it to ortho and neuro and
19 general surgery.

20 MS. DINOVA: Okay. I will leave it as is
21 then and we can actually -- I'll pull up the
22 grey book section and we can add that in as an
23 appendix and refer to it.

24 I'm getting some text messages about some
25 other clerical things with some spelling things

1 and everything. I'll make sure I run it back
2 through spell check. Okay.

3 All right. Recommendation number seven was
4 that trauma centers at the request of the
5 Department shall submit an attestation along
6 with supporting documentation for declaring the
7 number of qualifying critical care and trauma
8 surgical subspecialty medical residents or
9 fellows currently assigned to that approved
10 program at their hospital. I do remember that
11 they sent that attestation out and I assumed
12 that we would just do the same thing again, send
13 a new attestation and give us a head count of
14 how many residents that we have.

15 Are we okay with that being the way that we
16 measure that? (Council members respond.)

17 Okay. You guys are making this easy.

18 All right. We are down to the Appendix A
19 and basically it's the Florida statute that lays
20 out the need for this assessment and the
21 required components in it, so we'll have that
22 added into it.

23 This is the sections out of that statute
24 that specifically speak to this project. I was
25 trying to scan it really fast to look for the

1 ICISS. This is all the population. I don't
2 think that section is in here, but I can add
3 that to here so we have the reference right at
4 hand.

5 Appendix B will be any public comment that
6 we receive. So if you have public comment that
7 you want to get on the record, either step up to
8 the podium to get it recorded by the court
9 reporter here today or send those public
10 comments to myself and Ms. Kocevar and we'll get
11 those added in.

12 And then, as I stated before, I took
13 Appendix C and Appendix D out because that's
14 going to be the calculations that they put forth
15 to us in the actual assessment.

16 Are there any sections here that we need to
17 go back and discuss further or is everyone okay
18 with me just making those changes and taking out
19 the DOH commentary and just listing the
20 recommendations out for vote for next time?

21 DR. ANG: (Not using microphone.)

22 MS. DINOVA: Okay. I will get that up and
23 going then. All right.

24 Bear with me while I switch screens again.

25 Okay. All right.

1 So for some future business we need to
2 discuss what next? So when we approved our
3 Charter last time, priority number one was to
4 get the assessment recommendations completed.
5 So we're working on that now.

6 And priority number two was the evaluation
7 and modernization of Pamphlet 150-9 as we all
8 know and love as the Florida Trauma Standards.

9 So we now have those subcommittees up and
10 rolling. Ms. Laura is heading those up. I've
11 given them some -- the document that we had as a
12 draft from January, but I think there's ten
13 sections to this priority that we listed in our
14 Charter.

15 So the first four or so either are in
16 process or can't be done until that process is
17 complete. Right. Doing the crosswalk. Looking
18 for the changes that need to be done. So we
19 can't develop identifying the challenges that
20 aren't covered in the grey book. We can't met
21 out whether or not any of these changes would
22 require, you know, a look at the 60-20 Rule. So
23 we can't do that portion of it.

24 However, number five in this priority is to
25 develop a literature review relating to the

1 quality of each system of verification. So
2 looking at -- oh, it didn't do it. Hold on.
3 Apparently you can't see what can see now.
4 Let's try again.

5 DR. REED: (Not using microphone.)

6 MS. DINOVA: All right. I'm hoping it's
7 just a lag time.

8 DR. ANG: If anybody wants to follow long
9 online, they can go to the FTSAC website, right?

10 DR. REED: (Not using microphone.)

11 MS. DINOVA: Yes.

12 DR. ANG: It's the second to the last
13 document if you wanted to follow along.

14 MS. DINOVA: For the Charter.

15 DR. ANG: Yes. 2020 Final Update 06/16/22.

16 MS. DINOVA: I don't know why suddenly
17 Teams has decided to not let me share. It says
18 that I'm sharing.

19 Can you folks online, can you see my
20 screen? There's a slide that says Future
21 Business and Charter Priority 2?

22 UNIDENTIFIED SPEAKER: No.

23 MS. DINOVA: Because it shows a red line
24 that I'm live.

25 Susie or Wendy or anybody that's online,

1 can you see my screen. (Virtual members
2 responded negatively.)

3 Okay. Hang on. Trying again.

4 (Virtual members responded affirmatively.)

5 Oh, you can see it now? It's coming up?

6 Okay. So my folks online, you can see my
7 screen, the Future Business Charter Priority #2?

8 DR. NAMIAS: Yes.

9 MS. DINOVA: That's so funny, we can't see
10 it in the room. Okay. I'll just tell you.

11 So, Charter priority number two was the
12 creation of the revision of the Trauma
13 Standards, Florida Trauma Standards.

14 Number five on that Priority was to develop
15 a literature review relating to the quality of
16 each system of verification. So what we had
17 discussed was getting someone to volunteer to do
18 a lit review, looking at the benefits of State
19 verification, ACS verification being used or any
20 kind of hybrid model being utilized so that we
21 could, after the standards are revised and have
22 gone through or are getting ready to go through
23 promulgation, we can look at the direction that
24 we want to take our State, as far as the
25 verification and designation model goes.

1 So is someone willing to take on that lit
2 review topic, one of our Council members willing
3 to step up and take that on for us?

4 DR. ANG: It depends when it's due.

5 MS. DINOVA: Well, definitely no earlier
6 than our November meeting. But it wouldn't be
7 fully due until we're getting down the road of
8 the Standards revision.

9 DR. ANG: I can look at it.

10 MS. DINOVA: All right. Thank you,
11 Dr. Ang. Fantastic.

12 I'm going to keep moving on like I have a
13 PowerPoint here to show. Oh, there it goes.

14 All right. We do need to look at creating
15 another priority because we only have two listed
16 currently, which are in process. So for the
17 November meeting, because we can't add anything
18 today, but for the November meeting, I'd like
19 for you guys to start thinking about what our
20 next priorities as a Council need to be. What's
21 the next challenge that we need to tackle.

22 So, we have the Standards revision team
23 going. That's being done for us. We're going
24 to have the recommendations that we can give the
25 State so they can start working on that

1 assessment. So then what do we as a Council
2 need to prioritize next? What's our next steps
3 after that? Okay.

4 So, like I said, we can't add anything to
5 it right now, but between now and November, if
6 you could think about it and think what we need
7 to -- what our next goal should be.

8 DR. REED: Mac Kemp, one of our members,
9 just gave an excellent discussion earlier here
10 about the legislative process and, you know, you
11 kind of knew that this stuff was going on, but,
12 you know, how the sausage is made, it's not
13 something that is enjoyable. And, in this case,
14 it takes a long time.

15 I think our ultimate goal as I still
16 understand it is to be able to have this Council
17 be the representative for the Trauma System in
18 the State, and kind of continuously update,
19 modify and maintain the Standards as we go
20 along, and eliminate that document that was put
21 out, what, 2005 or '10, 2010.

22 MS. DINOVA: Ten, technically, but --

23 DR. REED: Right.

24 MS. DINOVA: -- the last major change was
25 '97.

1 DR. REED: It needs to be a living process
2 and no a stagnant, you know, booklet that gets
3 passed around for a decade or two.

4 And that's only going to happen if we can
5 get the legislature to change the rules on how
6 this Trauma System is managed and how trauma
7 centers are verified and things like that.

8 And I'm just wondering if we should start
9 to develop some kind of plan towards legislation
10 in terms of getting that contacts in place and
11 the people that we need to know and talk to, the
12 folks who, you know, whatever senator or
13 congressman that is going to put up a motion or
14 a bill that can then ultimately be the new law.

15 And, you know, obviously, we're going to
16 continuously redefine things, but I'd like to
17 think that we're redefining it toward a purpose
18 and that would be a way of getting that purpose
19 going, that we would have things in place, a
20 process in place to be able to get that
21 legislation initiated.

22 MS. DINOVA: Yes. I know when Mr. Leffler
23 was here leading us, we had -- the DOH had taken
24 to the legislature, if I remember correctly,
25 some recommendations about ways to make those

1 changes to the laws so that we would be able to
2 try to update this document more easily than
3 having to go through the whole rule promulgation
4 and change everything.

5 I can go back to them and see what that
6 process would be and what the recommendations
7 were at the time and we can bring that back
8 around. Because, I agree, we've got to be able
9 to make that change. It's just getting the
10 legislation to have an appetite for Trauma.

11 DR. REED: Right.

12 MS. DINOVA: So, absolutely, I can try to
13 find those documents and bring them back for
14 discussion again.

15 DR. REED: Thank you.

16 MS. DINOVA: Okay. So everybody put on
17 your thinking caps between now and November,
18 please and come up with some more
19 recommendations for us for projects.

20 All right. I'm going to open the floor now
21 for public comments. If anyone in the room
22 would like to have any comments put on to the
23 record, please come up to the podium so that we
24 can use the microphone.

25 Make sure you state your name for the court

1 reporter. And if you are online, feel free to
2 mute yourself, but, again, state your name for
3 the record before you give your commentary.

4 DR. PAPPAS: Am I recognized?

5 MS. DINOVA: Yes, please, Dr. Pappas.

6 DR. PAPPAS: Thank you. Good afternoon,
7 everyone. My name is Dr. Peter Pappas.

8 Good afternoon, everyone.

9 THE REPORTER: Perfect. Thank you.

10 MS. DINOVA: There we go.

11 DR. PAPPAS: Great. We know who's in
12 charge here. Good afternoon, everyone. My name
13 is Dr. Peter Pappas. I am the State Chairman
14 for the Florida Committee on Trauma of the
15 American College of Surgeons Committee on
16 Trauma, and I am here speaking on behalf of my
17 group.

18 With my membership, and certainly with our
19 Executive Committee, I think there are two items
20 that I think we all have a mutual interest in.
21 But I would like to bring to the attention of
22 the Trauma System Advisory Council.

23 First of all is working off on a statement
24 that Dr. Reed made earlier. There certainly is
25 consensus within the Florida Committee on Trauma

1 that we must have our standards be more of a
2 dynamic living document and, frankly, just be
3 better coordinated with the latest revision and
4 edition of the American College of Surgeons
5 Trauma Standards.

6 I'm proud to say the American College of
7 Surgeons Committee on Trauma is certainly the
8 preeminent subject matter expert on trauma care
9 certainly within North America and increasingly
10 globally. So I think it is part of our proud
11 tradition here in Florida of really being
12 leaders in trauma, nationally and to some extent
13 even internationally, that we take a lot of the
14 good things that are coming out of the ACS on a
15 regular basis, continuing to use that to revise
16 and improve what we're doing here in Florida.

17 So I welcome this. Certainly the Florida
18 Committee on Trauma welcomes sort of comments
19 being made by the Committee members today and we
20 certainly hope that you consider us partners in
21 the future as we continue to develop this
22 process.

23 The second issue is really more of
24 housekeeping. I have frankly have had a lot of
25 comments and I would like to bring it all to

1 your attention and the powers that be that the
2 FTSAC Committee nominations process and review
3 of nominations and applications, it's certainly
4 something out there has generated a great deal
5 of interest within the membership and within the
6 trauma community and I'm hopeful that at least
7 by November if we can potentially get an update
8 to see where that process is. In the meantime,
9 I will keep fielding e-mails and phone calls on
10 my end. That's something that we also look
11 forward to. If anything, to really begin -- get
12 us to a point where we can continue to really
13 start making some real decisions in terms of
14 moving forward and continuing the process of
15 developing FTSAC as a functional and vibrant
16 entity in cooperation with EMSAC. We all
17 certainly consider EMSAC a very important part
18 of what we do here in trauma, and we certainly
19 all have a very vested interest in seeing FTSAC
20 continue to grow and develop and have a similar
21 role on the trauma side.

22 So, again, thank you all for your hard work
23 on your volunteering, certainly from FCOT and,
24 again, please consider us partners.

25 Thank you.

1 MS. DINOVA: Thank you, Dr. Pappas.

2 I just want to remind everybody if you are
3 taking the time to send those e-mails to
4 Dr. Pappas and to some of our other partners,
5 please take the time to get those over to the
6 Governor's office. Unfortunately, we have no
7 control over any of the appointments. In fact,
8 we all know that we are working outside of our
9 initial appointments at this point, too. But to
10 keep things moving, we're showing up and trying
11 to keep everyone engaged. So, please, on a
12 personal note, you all know that I work for one
13 of our trauma centers in the State. I have had
14 my government relations folks reach out to the
15 Governor's office and encouraged them to please
16 start looking at these appointments because as
17 it was set in the statute, this is a
18 gubernatorial appointment, and until the
19 Governor's office takes action, we're just going
20 to show up and keep trying to move things
21 forward.

22 I would love to have all of our seats
23 filled. We have three vacancies right now and,
24 again, we all know that the nine of us that are
25 left on there we're all working outside of our

1 appointments. I know that most of us have
2 probably put in for reappointment, but we, too,
3 are waiting on pins and needles. So, please,
4 encourage your facilities, your organizations,
5 your government relations folks to reach out and
6 encourage them to look at this topic.

7 Okay. Any other comments from the public?
8 (No response.)

9 Anyone online?

10 DR. GINZBURG: I'd like to make a comment.
11 This is Dr. Ginzburg, Enrique Ginzburg, Trauma
12 Medical Director at Jackson South, Ryder Trauma
13 Center.

14 I think one of the priorities for this
15 organization, along with FCOT is to in some way
16 try to establish a statewide violence prevention
17 program, youth violence prevention program which
18 there are models that have been used in the
19 state at least in South Florida and try to get
20 the legislature to provide the funding for the
21 establishment of these programs.

22 MS. DINOVA: Thank you. I think that's a
23 great topic that maybe we can look into.

24 DR. REED: Yes. It's one of those issues
25 where our goal is to put ourselves out of

1 business. Trauma is the most preventable
2 disease on the planet. You don't need to know
3 anymore molecular biology to figure how it
4 happened, and yet we seem to have a very
5 difficult time from keeping it from happening.

6 MS. DINOVA: Agreed. If you have
7 information on any specific programs that you're
8 talking about that maybe we could expand on,
9 please send those to me. That would be great.
10 I'd reach out to them.

11 DR. GINZBURG: I'll talk to Nick,
12 Dr. Namias, who's our Chief of Trauma for the
13 whole Ryder System to get your contact so that I
14 can send you what we have tried to do with the
15 Governor in the past, Scott, and also trying to
16 get it to this Governor. We do have a huge
17 plan. I've spoken to Dr. Pappas about it also.
18 So, as soon as I get your contact information,
19 I'll send you one of these programs.

20 MS. DINOVA: Great. Thank you.

21 Okay. Anyone else on line have any
22 comment? (No response.)

23 Anyone else? I don't see anyone else in
24 the room coming up to the podium. (No
25 response.)

1 Okay. Thank you very much.

2 All right. So, just in closing, our next
3 meeting is November 16th, 2022. We will be both
4 live and online, as we are now. I encourage
5 everyone to come up because we will be at
6 Tallahassee Memorial. They have agreed to host
7 us. We have not been in Panhandle since this
8 Advisory Council has started, so it'll be nice
9 to get kind of to the north part of the state
10 this go-around.

11 I look forward to being able to see their
12 facility and tour around. I haven't done that
13 yet. So, Joe, if you're listening, I need a
14 tour.

15 But, otherwise, unless anyone has any other
16 topics for discussion? (No response.)

17 All right. We are adjourned.

18 Thank you.

19 (The Florida Trauma System Advisory Council
20 meeting concluded at 2:12 p.m.)

21

22

23

24

25

* * * * *

C E R T I F I C A T E

STATE OF FLORIDA)
COUNTY OF ORANGE)

I, CYNTHIA R. GREEN, Court Reporter,
certify that I was authorized to and did report the
aforementioned Florida Trauma System Advisory
Council meeting and that the transcript is a true
and complete record of my notes and recordings.

I further certify that I am not a relative,
employee, attorney or counsel of any of the parties,
nor am I financially interested in the outcome of
the foregoing action.

DATED this 28th day of October, 2022.

Cindy R. Green

CYNTHIA R. GREEN, Court Reporter
Notary Public, State of Florida
(electronic signature)