

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

FLORIDA TRAUMA SYSTEM ADVISORY COUNCIL

MEETING

November 16, 2022

Location:

Tallahassee Memorial Hospital Auditorium
1300 Miccosoukee Road
Tallahassee, Florida

Reported via conference call by:

Ray D. Convery, Court Reporter
For the Record Reporting, Inc.
1500 Mahan Drive - Suite 140
Tallahassee, Florida, 32308

1 P R O C E E D I N G S

2 (Following several attempts to achieve
3 satisfactory audio quality, the proceedings commenced as
4 follows:)

5 MS. DiNOVA: All right. For the third
6 attempt, thank you everyone for your patience.
7 Clearly we're having technical difficulties today.

8 I want to remind everyone that you have a
9 court reporter on the line with us. So, prior to
10 making any statements, please make sure that you
11 state your name. I am Lisa DiNova. Sorry. Also
12 that we are recording this. So, by being
13 participants on line in this meeting, you're giving
14 consent to being recorded.

15 We're going to initially call the meeting to
16 order at 13:18 on November 16th, 2022. We are here
17 at Tallahassee Memorial Hospital, and we'll start
18 off our meeting by giving the pledge of allegiance,
19 please.

20 (Pledge of allegiance.)

21 MS. DiNOVA: Thank you everyone. I'm going to
22 go through and do a role call. So I'm looking for
23 Dr. Reed. Are you on the line?

24 (No response.)

25 Malcolm Kemp.

1 MR. KEMP: Yes.

2 MS. DiNOVA: David Summers.

3 MR. SUMMERS: Yes, on Zoom.

4 MS. DiNOVA: Dr. Summers?

5 (No response.)

6 Dr. Ang.

7 DR. ANG: Present.

8 MS. DiNOVA: Dr. Namias.

9 DR. NAMIAS: Here.

10 MS. DiNOVA: Lisa DiNova. That is I.

11 Dr. Ibrahim.

12 (No response.)

13 MS. DiNOVA: And Dr. McKenney.

14 DR. MCKENNEY: Present.

15 MS. DiNOVA: All right. Fantastic. So I show

16 six members here presently. We'll do another roll

17 call if anybody else joins on. We're going to

18 start with a few opening remarks from Ms. Joe

19 (phonetic) to tell us about Tallahassee Memorial,

20 our lovely host.

21 MS. JOE: Good afternoon, this is a Joe. I'm

22 the Trauma Program Manager for Tallahassee

23 Memorial. As you know, we're a Level II

24 state-designated trauma center. (inaudible) just a

25 little bit about us.

1 If you're here in person, thank you for those
2 that came, the road trip up, I appreciate it, from
3 certain areas. We started in 2006 with
4 preparation. Our last designation was 2015 and
5 we've got one coming up in 2023.

6 Just a little bit about our center. We have
7 nine trauma surgeons, three trauma acute care
8 surgeons. Our trauma program medical director
9 (inaudible). We also have five general surgeons
10 that take trauma calls for us, five neurosurgeons,
11 two orthopedic traumatologists, two (inaudible)
12 pulmonary and critical care intensivists, and
13 anesthesia 24/7 and general surgery residency. We
14 also have a wide variety -- thanks to all our
15 specialties that help take care of our trauma
16 patients, and my trauma office staff, myself, my
17 performance improvement advisor, Ms. Dee
18 (phonetic), and I have four registrars that help us
19 keep our registry up to date.

20 From a resource perspective, if you're not
21 familiar with our hospital, we're a 772-bed
22 acute-care hospital with 53 emergency room beds.
23 We have critical care, non-ped critical beds, 34
24 intermediate care, 23 operating rooms, two hybrids
25 that includes three CT scanners and one MRI. So I

1 just want to thank you for coming up to the
2 panhandle today because I know it's not everybody's
3 favorite thing to do.

4 All right. And welcome. And there's food and
5 drink in the back.

6 MS. DiNOVA: Thank you, Ms. Joe, we appreciate
7 you hosting for us today.

8 So that everyone else on the line is aware,
9 we'll be looking for other hosts later on into next
10 year. So if you're interested, let me know.

11 We are going to review some old business that
12 we have. Our meeting minutes are the court
13 reporter notes. They are posted onto the Advisory
14 Council on the Florida DOH web site. So you'll be
15 able to access those there. And then also for
16 followup on old business, we have Charter Priority
17 No. 2. For No. 5 in that section, we had to
18 develop a literature review relating to the quality
19 of each system of verification, being ACS versus
20 state designation, and Dr. Ang has done that for
21 us, and I believe is going to give us a bit of a
22 primer for that. I'm going to try to pull it up.
23 And this will come in handy as we are discussing --
24 later on as we're doing our Florida standards
25 revisions, and then talking about where we want --

1 what direction we want to take the state later on.
2 I am trying really hard to be able to (inaudible).
3 All Right. There we go. Dr. Ang, if you want to
4 go ahead and tell us what you found.

5 DR. ANG: Sure. Just to remind everybody,
6 last FTSAC meeting I volunteered to kind of do a
7 literature search on comparing ACS versus state
8 designation. I used pubmed Google citation index,
9 then pulled up the articles and used references to
10 crossreference their reference to see if there are
11 any other articles and whatnot. Key words used was
12 American College of Surgeons trauma designation,
13 state trauma designations and points looked up,
14 primarily anything related to trauma, mortality,
15 length of stay, et cetera.

16 Interestingly, there's not much written on the
17 topic -- I pulled up about seven articles I thought
18 kind of addressed this question specifically, which
19 was ACS versus state designation and outcomes, and
20 I'll kind of go over some of the articles in brief,
21 but I think in general the best way to go about
22 this is -- you know, I've provided these articles
23 in bullet points, but I also provided the actual
24 articles for the FTSAC members to review, and I
25 think we can table our thoughts on this until

1 everybody's had a chance to kind of review the
2 articles and give their thoughts about it, but I
3 just kind of give an overview of the major points
4 about some of the articles that I found.

5 So the first one was published in Injury, and
6 it was one of the more recent ones in 2019, and
7 they did look at the specific question,
8 ACS-verified versus state-designated facilities,
9 and what they found was that they had similar
10 risk-adjust mortalities with the relative risk of
11 one and a confidence interval that crossed one. So
12 there are no significant differences between the
13 two.

14 The second article I found was from our very
15 own Dr. McKenney in his group in Kendall, and they
16 actually also looked at the impact level of ACS
17 verification. The study didn't directly compared
18 ACS to state designation but, rather, more ACS
19 Level I to Level II and then state Level I to Level
20 II, but I did bring this one up because they did
21 have ODE ratios. The risk adjustment model that
22 they used was different than the one from the 2019
23 Injury article, but you can see here that the ODE
24 ratios were basically pretty similar, particularly
25 if you compared like ACS Level I to state Level I.

1 The ODE ratio for the ACS was .73, and for those
2 that don't know what an ODE ratio is, that's the
3 actual observed death divided by the expected
4 death. And so, if your ratio is greater than one,
5 then you have more deaths than expected, and the
6 expected deaths is calculated by either regression
7 model or some sort of risk-stratification model
8 that people choose. And so, for a Level II in this
9 study, there's essentially not much difference, .75
10 for ACS and .74.

11 The next article I pulled up was an older one
12 in 2013, and this one was pretty interesting. This
13 one looked at trauma-center certification versus
14 state-designation, specifically asking questions
15 about Level II and whatnot, and they found
16 basically, when comparing the ODE ratios, the Level
17 I ACS verified centers as a group, they had a lower
18 median ODE ratio, .95, versus the state at 1.02,
19 but you can see their inter-quartile range, which
20 is something similar to a confidence interval, but
21 not exactly. An inter-quartile range would be like
22 75 percent or whatnot. It still crosses one on
23 both of those inter-quartile range. So probably
24 not much difference. There was no difference in
25 the median ODE ratio in Level II trauma centers

1 that you could see at .94 versus .87 with a P value
2 of .3. Now the other one -- even though the
3 inter-quartile range crossed, they did have a P
4 value of .01.

5 And so they did do a regression analysis later
6 on where they put designation ACS verification as
7 part of the model, and they did find that it was
8 not associated with survival in Level I centers,
9 but for Level II centers it did.

10 The next article we got from the American
11 Surgeons, a little bit older as well, in 2011.
12 This is with Dr. Dimetrioti (phonetic) out at UCLA.
13 And they looked at whether or not Level I trauma
14 centers are equal in comparison of ACS and
15 state-verified centers. In their risk-adjusted
16 model, they basically found no survival advantage
17 of either type, 4.9 percent for ACS and 4.8 for
18 state centers, and their P value was not less than
19 .05, and it was up .311.

20 The fifth article I pulled up was actually a
21 really interesting article. This came out of FOS-1
22 (phonetic), which is a pretty reputable open-source
23 journal. It was the first open journal I think.
24 It kind of pioneered this idea of, you know,
25 unbiased review, but what they found in their

1 article -- they actually looked at the conference
2 effect, and so the idea here was that, if you had a
3 robust system with stopgaps in place like the ACS
4 verification does, then, even with decreased
5 resources, say around conference times, you should
6 not notice a difference in mortality, you know,
7 during those times. And so they compared verified
8 centers with non-verified centers, and basically
9 what they found was trauma centers without ACS
10 verification during non-conference dates had an
11 odds ratio that was significantly higher at 1.2.
12 So that means that -- a 20-percent more likelihood
13 of mortality during those conference dates with ACS
14 on the P value, and this was after risk adjustment.

15 The second to the last article that I pulled
16 up was a really old article from 2003. I actually
17 liked the design of this one because it's not just
18 comparing ACS versus non-ACS. This is comparing
19 before and after ACS verification, and, you know,
20 depending on the duration that they looked at, the
21 control group might be more accurately -- you know,
22 because you're looking at the same system and
23 comparing the same group of surgeons, the same
24 state and et cetera, and you're kind of removing
25 some of those confounding biases, but they actually

1 found that there was overall improvement after ACS
2 verification in terms of length of stay, and
3 mortality was significantly different at .81 versus
4 .59, and their final conclusion with ACS Level II
5 trauma center verification appeared to result in
6 desired outcomes.

7 And then the last one that I pulled up was
8 from 2017, and this was published in The Journal of
9 Surgical Research. And this was an interesting one
10 because, you know, we don't have Level III and
11 Level IV centers here, but they did compare Level I
12 and Level II. They didn't see a difference between
13 Level I and Level II ACS versus state Level I and
14 Level II, but they did see the biggest difference
15 among Level III and Level IV ACS versus state with
16 ACS having better outcomes than state.

17 So I encourage everybody to read these
18 articles and -- you know, because there's a group
19 effort to kind of like evaluate the existing data.
20 I'll continue to look for more articles. I'm sure
21 Dr. McKenney has done a literature search based on
22 the fact that I pulled up one of his articles as
23 part of this, but, you know, the data for
24 head-to-head direct comparison between ACS and
25 state designation isn't a lot. These articles are

1 limited by the fact that, you know, each state has
2 a different state-designation criteria. Also, each
3 one of these articles, if you notice, the older
4 articles tend to show ACS verification as having
5 better outcomes and the more later articles showing
6 really no differences. So that might be secondary
7 to the quality of data. We don't know. Or it
8 might be differences in the fact that maybe the
9 state-designation systems have kind of matured over
10 time because some of these, you know, we can't
11 account for. And lastly, you know, there's always
12 variation in practice. So a lot of these
13 retrospective studies lack clinical variables, lack
14 the ability to determine whether or not there are
15 variations in clinical practice and whether or not
16 people are adhering to evidence-based practice, and
17 those things really can't get measured, you know,
18 in a study like this, but this is kind of what we
19 have.

20 And so I think I'll end it there and open it
21 up for any questions.

22 MS. DiNOVA: Does anyone have any questions
23 for Dr. Ang?

24 I just want to say thank you for doing this
25 for us. It's just a little bit of light reading

1 that we sent out this morning. I do think that
2 this is something that, since we have some time, we
3 know that we have the Standards Subcommittee
4 working, so it will be something that I feel like
5 you guys are leaning towards having this kind of
6 conversation later on. So this gives us some
7 research to look at and see where that conversation
8 needs to head. So thank you so much for doing that
9 for us, Dr. Ang.

10 MR. SUMMERS: Yeah, Dr. Ang, David Summers.
11 Thanks for doing the legwork.

12 DR. ANG: Oh, my pleasure.

13 MS. DiNOVA: Okay. Let me get us back to our
14 Powerpoint. All right. So that brings us up to
15 doing some new business. So, looking at some
16 updates from some folks -- so, Ms. Koccevar, do you
17 have an update from the DOH? You may want to come
18 closer to this microphone.

19 MS. KOCEVAR: Hi, Kate Koccevar. I am the
20 Florida Department of Health Trauma System
21 Administrator. So a couple of things. First of
22 all, we had what I think to be a successful hybrid
23 survey done, just recently done in the HCA Florida
24 Lake Monroe, and that just took place this past
25 Monday. So this is the first time that we have now

1 instituted a hybrid survey designation process.
2 Dr. Pappas is here in the room, was kind enough to
3 be our trauma medical director for that hospital,
4 and so he had the pleasure of being the very first
5 one. I think overall it went well. I think that,
6 with the pandemic we were forced to do it looking
7 at things differently, and out of it I think we
8 were successfully coming up with some ideas of how
9 we could make our on-site process much more
10 engaging. And so, in doing that, that allowed us
11 the opportunity to not only walk around the
12 hospital as we did our survey, but engaged with a
13 lot of individuals and made them understand why we
14 were there and what we were doing. And then the
15 best part about all of it is we didn't tie up the
16 hospital for 12 hours. We were able to get in and
17 out in a reasonable time and not tie up all those
18 resources. So I think that it was a win all the
19 way around, and so we're looking forward to our
20 next one down in. Osceola next month -- is it next
21 month -- it may be January, excuse me -- I don't
22 want to put that out -- Osceola, I thinks it's
23 January, you know, with that, so -- you know, with
24 that. So that's the first thing. So I think it
25 was -- overall, it was a success. Our surveyors

1 seemed to enjoy assisting in the process as well.

2 Secondly, just a heads-up that the legislative
3 session is going to start March 7th through May
4 5th. Mac, am I correct on those dates? All right.
5 So, just bear in mind that the things that we're
6 talking about here at the Council today, some of it
7 may actually require that you actually get active
8 with your government people, with your legislator
9 people, and talk to them and let them know what's
10 happening, because the best thing that we can do is
11 get this information in front of them, so that, as
12 they are having their meetings and they're having
13 session, it's an opportunity for them to learn more
14 about us and for them to understand how imperative
15 it is for their action items that we need for them
16 to do. So very important there.

17 And then the last thing I'll just talk about
18 is the ACS TQIP meeting is going to be held this
19 coming December, December 11th to the 13th. That's
20 going to be in Phoenix, Arizona this year. We're
21 certainly hoping that all of you will have a chance
22 to get out to the ACS TQIP. The Florida
23 Collaborative will also be having a meeting that
24 Monday morning, and it's very imperative that
25 everybody participates, not only because you said

1 you would participate in the collaborative and
2 50 percent participation is it, but because of the
3 fact that we really take a look at data. We know
4 that it drives how we approach things. The six
5 indicators have been on the books since 2018. They
6 were only supposed to be there for two years.
7 We're learning how to measure things better, but we
8 need your input and we need to make sure that you
9 stay active with all of that.

10 Luckily for us, we've now engaged a great guy,
11 Fahad Shan (phonetic), who is going to be helping
12 trauma with a lot of the data and driving that.
13 And so we're hoping that, with the kickoff in
14 December with the ACS Collaborative (inaudible)
15 while we're there, we'll have our state one as
16 well, and then really kind of kick it into gear
17 about really getting our activities moving in a
18 direction that is data-driven.

19 So I think that's kind of what I have right
20 now. Anybody have any questions of me?

21 MS. DiNOVA: I do.

22 MS. KOCEVAR: Okay.

23 MS. DiNOVA: I know the session starts in
24 March. Are they doing the trauma dates in
25 February, or do we know what dates or --

1 MS. KOCEVAR: Well, I have not heard anything
2 specific. I have looked to Dr. -- I think they
3 mentioned something about February -- look to
4 Dr. Pappas, you know, for that. I have not
5 received anything specific yet.

6 MS. DiNOVA: Okay. And (inaudible) --
7 (Cross talk.)

8 MS. KOCEVAR: Yeah I don't know. Mark, do you
9 have a calendar of anything that's going on just
10 yet? Okay. If I do get that data, I could
11 certainly, you know, send it out. I'll send that
12 to you, Lisa, and you can certainly do it and get
13 it out to everyone, and we'll get that information
14 out as soon as possible, but, yes, that's a great
15 opportunity, and we'd really love to see a lot of
16 you up here speaking on behalf of trauma. I think
17 that -- I think the wonderful thing about it is
18 we're getting along so well that we've sort of
19 forgotten who we are. So we want to make sure that
20 everybody gets reintroduced, you know, in a
21 positive way, that we need to do it. I think, if
22 nothing else, we've seen over the last few months,
23 unfortunately, a lot of the tragedy that may have
24 hit our state through our storms and some loss of
25 life, and the amount of activity that we need

1 everyone to come together with. So it's just so
2 important that the trauma system works that way,
3 and that we're all in this -- we're all in the same
4 boat together. So let's, you know, make sure that
5 we keep everything moving forward and staying
6 afloat. That's my analogy with my boat.

7 MS. DiNOVA: Does anyone on line have any
8 questions for Ms. Kate?

9 Okay. All right. Moving on then, Mr. Kemp,
10 would you like to give us an update on the EMS
11 Advisory Council.

12 MR. KEMP: Thank you, Madam Chair. Just a few
13 things. At EMS we have started a pre hospital
14 whole-blood coalition, it's Florida and Beyond,
15 pre hospital agencies. We had our initial kickoff
16 call two weeks ago. There were about 200 folks and
17 agencies that were represented in that. So we're
18 sharing information. Whole blood is really getting
19 going, and you're going to see a lot more of that.
20 If you haven't seen that at the local EMS agency
21 near you, you will soon. So that's something that
22 it's definitely going pretty strongly.

23 The Florida EMS Strategic Plan has been on and
24 off and on and off, and we're hoping that it's
25 going to be on again soon. We're reporting on

1 that, hopefully will have something to report back
2 to you have soon on that, but if you have anything
3 that you feel like needs to be included in that
4 plan, please get that to us because pre hospital --
5 this is for the next five years. So, if there's
6 anything that you think needs to be addressed
7 trauma-wise in the pre hospital plan, get that to
8 us so we can get it in there now.

9 As Ms. Kate said, the legislative session will
10 begin March 7th. With the elections just
11 finishing, what you should be doing now is reaching
12 out to your newly-elected or reelected legislators
13 and talking to them. Be aware that committee
14 meetings are going to start in December,
15 unfortunately, but they are starting. They're
16 going to be here in Tallahassee. So that's when
17 you really need to start lobbying is at committee
18 hearings. If you wait until the day that the
19 session starts, you're already behind. So
20 committee meetings will be starting way ahead of
21 that.

22 The next EMS Advisory Council meeting will be
23 held in Daytona Beach, January 18th through twenty
24 (inaudible). So I believe this meeting -- I
25 believe that Ms. Kayla (phonetic) is working on

1 trying to get a concurrent meeting with this body.
2 So kind of put that on your radar because I believe
3 that is one that is kind of slated to meet again.
4 That concludes my report.

5 MS. DiNOVA: Thank you, sir. Anyone have any
6 questions for Mr. Kemp?

7 (No response.)

8 All right. Thank you so much.

9 All right. Let's do an update on our Florida
10 Standards Review Subcommittee, Ms. Laura Hamilton,
11 do you want to --

12 MS. HAMILTON: Good afternoon, Board.

13 MS. DiNOVA: Yeah. Go ahead. Sorry.

14 MS. HAMILTON: Okay. No worries. Good
15 afternoon. Laura Hamilton. The Subcommittee
16 continues to meet regularly and everything is going
17 really well. There is great participation and
18 discussion and agreement amongst the group as far
19 as what we should align with and maybe what we
20 shouldn't. So we're currently on Standard 6 of 9
21 that we will be reviewing tomorrow, and we're still
22 on track for mid-December completion, and from
23 there I'll be sending out to FCOT and AFTC for an
24 evaluation after that.

25 MS. DiNOVA: Laura, this is Lisa. Do we think

1 that we might possibly have maybe a recommendation
2 to be able to put to the FTSAC in January, because
3 I think we're meeting mid-January? Do you think to
4 you guys might have put in enough to present --

5 MS. HAMILTON: We'll be able to give a
6 recommendation in December. So I guess that would
7 depend on FCOT and AFTC coming together to meet and
8 discuss to provide a final recommendation.

9 MS. DiNOVA: All right. Great. At least
10 we'll have something that we'll be able to hold
11 over, if nothing else, until the next meeting.
12 That's fantastic. Thank you so much for your hard
13 work on that.

14 Does anybody have any questions for Ms. Laura?

15 (No response.)

16 Okay. Next, our FCOT chair, Dr. Pappas.

17 DR. PAPPAS: Thank you, Lisa, and thank you,
18 members of the committee. First of all, I just
19 want to make sure that everyone can hear me all
20 right?

21 (No response.)

22 Great. I'll take that as a yes. Thank you
23 for the opportunity to address the Florida Trauma
24 System Advisory Council today. I am Dr. Peter
25 Pappas, and I am speaking to you all in my official

1 capacity as Chair for the American College of
2 Surgeons, Florida Committee on Trauma.

3 Several issues I did want to discuss that
4 certainly have been relevant to our assembled
5 membership, as well as our stakeholders and
6 partners in the Association of Florida Trauma
7 Coordinators. First and foremost, of course -- I
8 think it's rather high on everyone's mind -- is the
9 status of FTSAC appointments. We've certainly,
10 within the FCOT, encouraged our leaders and
11 stakeholders to follow up on appointments and
12 certainly also to apply for this. And we're
13 hopeful to -- I think we all are hopeful to see
14 some movement on this front in the coming weeks and
15 months. We're pleased, of course, to see continued
16 work on state standards. We really have to applaud
17 Laura Hamilton and her leadership in being able to
18 bring everyone together, and I certainly -- I'm
19 very pleased to see so many of our FCOT and AFTC
20 members actively involved in that process.

21 As Kate Kocevar alluded to, the Florida TQIP
22 Collaborative is certainly a major priority for us.
23 And we are looking forward to continuing to partner
24 with not only the AFTC, but also the Department of
25 Health to really reach a point where now we not

1 only have the data, are able to assess the data and
2 really take action based on that data, and I
3 believe in many ways that our Florida Collaborative
4 meeting at the national TQIP meeting in December
5 will be a great starting point for future
6 collaboration.

7 And as regards to the earlier question
8 regarding a trauma-related event, through our
9 Advocacy Committee, FCOT is working with AFTC to
10 schedule some sort of event in Tallahassee, and
11 really the idea there is to really reintroduce our
12 specialties, reintroduce trauma and reintroduce
13 both the role of the FCOT and AFTC in our state
14 trauma system to our legislators. So this will be
15 very much sort of an informational session and
16 we'll really want to be in a position to pretty
17 much ask our elected representatives what they may
18 need of us and know that we are available to them
19 as the state subject-matter experts for clinical
20 care and trauma.

21 A number of items I also wanted to touch on
22 from our FCOT membership. First of all, from our
23 Level II Committee, an interesting question
24 regarding the future of standards, and this was
25 brought forward and certainly brought to everyone's

1 minds during COVID is the growing role of
2 telehealth, and is there, in any part of the trauma
3 chain of care, a role for telehealth in trauma
4 care? And should this be addressed at some point
5 in our standards? So given the growing role of
6 telehealth overall, this may be worthwhile to set
7 discussion for our Standards Subcommittee and for
8 FTOC once the heavy lifting is done with our state
9 standards.

10 In addition, I recently attended the national
11 COT meeting in San Diego in the earlier part of
12 October, an opportunity to speak with COT
13 leadership in the trauma systems program, and what
14 we did discuss was the potential for on ACS COT
15 consultative visit. This has been brought up, of
16 course, over the past several years. The last
17 visit itself was in 2013. What I can say from the
18 standpoint of the COT, they are available to
19 proceed, with the earliest optimal timeframe being
20 in the first quarter of 2024. To self-support
21 decision-making of this committee as to whether it
22 is appropriate to proceed, I have had an
23 opportunity to forward to Kate Kocevar a copy of
24 the recently-updated Trauma Systems Guidelines,
25 currently known as the white book, along with the

1 peer-reviewed questionnaire. As I mentioned, the
2 last visit was in the 2013 timeframe. So at least
3 from the point of view of the Florida COT, our
4 state trauma system and Florida's overall system of
5 health care delivery has certainly undergone
6 significant changes in the past decade.

7 An external review, honestly, may provide a
8 little bit of a pulse-check on our current system
9 of care, and also help us to identify opportunities
10 for the coming decade and really giving us a road
11 map to seize on those opportunities.

12 Finally, and speaking of dramatic change to
13 our state trauma system over the past decade, you
14 know, it's clear there is an interest within both
15 the FCOT and the AFTC in reevaluating the timing,
16 potentially, of our state site surveys. The pace
17 of change in modern health care practice and health
18 care systems is accelerating. In such an
19 environment, seven years may simply be a little too
20 long. A model where site surveys occurred
21 potentially on a three-to-four-year basis may be
22 much more in touch with the needs of our trauma
23 centers and their parent institutions for relevant
24 and timely feedback on trauma center organization
25 processes.

1 From the point of view of the FTOC, greater
2 collaboration and/or coordination between DOH and
3 ACS FCOT verification for ACS verified centers
4 would be encouraged. A potential model for
5 coordinated DOH and ACS evaluations every three to
6 four years, in three-to-four-year intervals with an
7 ACS state consultative visit once a decade. This
8 seems to be the potential for the best of all
9 possible worlds, where we ensure the strength of
10 both our individual trauma centers and our system
11 as a whole.

12 That concludes my remarks. I thank the
13 committee again for their time.

14 MS. DiNOVA: Thank you. Anyone on the line
15 have anything for Dr. Pappas?

16 (No response.)

17 All right. This is Lisa again. I just want
18 to address a couple of things with that.
19 Dr. Pappas, thank you for bringing up so many great
20 points. I know we had talked about the ACS
21 consultative visits. Before we were looking into
22 that, ACS had stopped doing those state surveys
23 during the whole COVID pandemic and whatnot. It's
24 good to hear that those are starting to get geared
25 back up. I know previously one of the things that

1 we had to do -- because the Department of Health
2 basically has to foot that bill for us, since
3 that's the way our system is built and the statutes
4 are written. So I think we would have to take that
5 to them as a possible recommendation or a request
6 and then have them put in for funding for that. So
7 I don't know if we'd be able to in early 2024, but
8 maybe late 2024 or early 2025, depending on what
9 the funding cycle is. So that is definitely
10 something that we as a council can look at
11 considering writing a recommendation for. I'll
12 make sure to put it on for our future because,
13 unfortunately, right now I don't have a quorum. So
14 maybe at the next meeting I'll have one. So I'll
15 definitely put that onto our thing that the FCOT
16 has brought that to us and definitely we can make a
17 recommendation for that so that they can start
18 looking for possible funding streams for that later
19 on.

20 And then the other thing that you brought up
21 was the timing of the surveys. I think
22 everybody -- we did discuss that as a council
23 previously, and everybody was in agreement that we
24 agree with you that seven years was far too long.
25 We wanted it to align with the ACS as well, doing

1 the every three years. Unfortunately it's written
2 into the statute, the seven years, but that is part
3 of the statute kind of requests that we had put
4 into the legislative body to look at for us to
5 change that, and I know we've taken it to them
6 twice and they failed to pick it up and even
7 evaluate it. So I think that we can try for that
8 again and hopefully all of our trauma partners
9 around the state, our shareholders in the system
10 can help support that in the legislative sessions
11 in talking to your government relations folks, and
12 when they're going in to talk to these legislators,
13 putting that bug in their ear that we want them to
14 look at that so that we can change that statute
15 because right now it's written into the law and we
16 can't change that. I think that's why the DOH was
17 doing the interim evaluations, was trying to get
18 around the seven-year. So it's good to have the
19 FCOT on board with that as well. So thank you.

20 DR. PAPPAS: Thank you.

21 MS. DiNOVA: I'll make sure to get those put
22 in for some future business to come back and
23 evaluate.

24 All right. I think that's everything for the
25 updates.

1 I would like to go ahead and -- something that
2 we had discussed at our last meeting were
3 recommendations to the Department of Health so that
4 they can start doing that assessment that they are
5 required to do by statute. Again, unfortunately,
6 unless some folks have joined us on the line, I do
7 not believe that we have a quorum. Let me see who
8 we're missing and see if I can possibly see if
9 anybody has joined. I'm looking for my list here.
10 Sorry. Oh, here. Dr. Reed, have you joined on the
11 line? Dr. Summers, or Dr. Ibrahim?

12 (No response.)

13 Okay. So, unfortunately, without them joining
14 on the line, the way our bylaws are written right
15 now, we can't hold an official vote on this. So
16 what I would like to do for the six of us that are
17 here is I'd like to go ahead and run through this
18 so that I can make this from a draft into a final
19 copy that we might perhaps be able to vote on at
20 some point. So let me switch which screen we have
21 up here.

22 All right. So going through here, we
23 discussed it last time, so I went through and made
24 the changes that we had talked about. So let's run
25 through this page by page real quick.

1 So the first thing we did was change the title
2 for this to be the Florida Trauma System Advisory
3 Council FTSAC Recommendations pursuant to the
4 statute. I changed the date to be to today. And
5 this was written in 2018, so it had a lot of
6 history behind it, and it had a lot of steps put
7 into it that they were taking to do these first
8 assessments. So I changed some of that verbiage to
9 make it now more of a concurrent document. So what
10 we are looking at now is at -- the first survey was
11 to be done by August 31st of 2020 and then every
12 three years after, and also that the Department has
13 respectfully requested that the Advisory Council
14 provide recommendations. So again, just changing
15 some of the language. The State's requirements do
16 provide the general framework for performing the
17 assessment. That was just a typo there to correct.

18 And as I'm going through, if you guys have any
19 comments from the council members, if you could
20 please just speak up and let me know what else I
21 need to change. Okay. On here what I did is
22 changed this to be a category of history because I
23 thought it was important that people be able to go
24 down -- since this is an every-three-year process,
25 so that they would be able to go back and see what

1 we did and what we're changing each time we go to
2 do this. So I left in the history that -- to -- in
3 order to develop the procedure to complete the
4 assessment, this is what they did. But then I just
5 changed it down here so that -- I took all of the
6 sections out here where they had gotten input from
7 everybody and changed it to, for the 2022 update,
8 the FTSAC reviewed and revised the 2019
9 recommendations as follows, and allowed for public
10 comment at the September 14th and November 16th
11 FTSAC meeting. The transcripts are available on
12 the Department of Health on the FTSAC tab, and I
13 gave the web site address so that, if anybody wants
14 to go back and see what those public comments are,
15 they can look them up for themselves, versus having
16 to have them attached as an addendum making this a
17 giant document.

18 I took out this section here because this was
19 just talking about what the Department had to do.
20 We don't need that anymore because what we are
21 providing for them now are just the recommendations
22 versus the actual process that they -- to create
23 the first assessment.

24 Hold on. My computer is doing funny things on
25 my screen.

1 So what I did here is just deleted the section
2 that says that the Department recognizes the
3 statutory prescribed assessment, because, again, we
4 want to leave just the recommendations now. The
5 document that I had been given when I took over
6 this role was the Advisory Council's
7 Recommendations along with the steps that they took
8 after that, so that's why it's a little bit
9 different this go round. So we want to ensure that
10 the Department can complete this statutory
11 responsibility. We want to provide a means of
12 assessing Florida's trauma system utilizing
13 contemporary measures, subject-matter expertise,
14 and the Department of Health resources. We want to
15 ensure that all stakeholder groups have the
16 opportunity to provide recommendations, comments,
17 or include any analysis that will be captured in
18 the assessment, and that, by utilizing the
19 three-part system, the Advisory Council can assure
20 that the recommendations put forth create a
21 comprehensive assessment that can be referenced by
22 policymakers when evaluating future changes to
23 Florida's trauma system. So that would wrap up
24 recommendation one.

25 I'm sorry, I can't get it all onto one screen,

1 but it won't let me delete those big blank lines
2 without putting big red lines through it.

3 So does anybody have any input on the changes
4 that I made to Recommendation No. 1? And I know we
5 can't officially vote, but what I would like to do
6 is, when we get to the end of this document, just
7 make sure that we're accepting it as is for now to
8 be able to put it forth for a permanent vote. So,
9 as we go through again, please let me know any
10 commentary.

11 MR. SUMMERS: Lisa, David Summers. I don't
12 have any issues.

13 MS. DiNOVA: Thank you. All right. I'll go
14 through and we'll look at Recommendation No. 2.
15 Again, this was just a bit of housekeeping to
16 change it from the Department making
17 recommendations to the Council making
18 recommendations, and what this is for is to lay out
19 where the population estimates need to come from,
20 and basically utilizing the same ones that they
21 used three years ago, just using the updated
22 versions of it so they can ensure they're using the
23 U.S. Census Bureau as directed by statute, and,
24 looking at the PSA population growth, using the
25 American Community Survey Five-year Estimates by

1 the U.S. Census Bureau, and then we are actually
2 going to go through and remove Appendix C because
3 that would be actual statistics, but it was back,
4 again, from 2018. That will be part of the actual
5 assessment and not part of the recommendations
6 document. Any comments on Recommendation No. 2?

7 (No response.)

8 Okay. We'll move forward to Recommendation
9 No. 3 then. And what we did here is we have to
10 define the term "acute-care hospital." It is not
11 defined by Florida Statutes. I took out the
12 commentary about what they did back in 2018.
13 That's what all of the red line is is removing how
14 that was done, and I just left it to where we are
15 referring back to the actual statutes, themselves,
16 that requires the office to maintain an inventory
17 of all hospitals with emergency-department
18 capabilities, and the hospital emergency-services
19 inventory is publicly available on the AHCA web
20 site. We actually will get rid of this as well
21 because Appendix D will then get moved to the
22 actual study versus the recommendation. So this
23 Recommendation No. 3 is just saying that we agree
24 with the statute and that they should use the AHCA
25 data set. If it says it has emergency services,

1 then it is an acute-care facility. Any commentary
2 on Recommendation No. 3?

3 (No response.)

4 Okay. Moving on to Recommendation No. 4, we
5 discussed this last time. We really didn't make
6 any changes to this at all. This is how it was
7 previously. The only thing I changed was that I
8 took out "Department," again, because this isn't
9 coming from the Department this go round. This is
10 coming from the council, and we discussed leaving
11 it with the ICA (phonetic) score that's already
12 laid out and just moving forward with that one as
13 intact. Anybody have any commentary on
14 Recommendation No. 4?

15 (No response.)

16 You guys are making this way too easy.

17 Okay. Recommendation No. 5, again, we left
18 this one intact because it was a big point of
19 discussion originally, just using the survival risk
20 ratio based on the ICA scores as laid out under the
21 statute. I plan on just leaving that alone unless
22 you have additional commentary.

23 Okay. Recommendation No. 6 is, define
24 critical care and trauma surgical subspecialty
25 medical resident or fellow as follows. We

1 discussed that last time, went round and round, and
2 decided we should just leave it as is, talking
3 about emergency and trauma residents and fellows
4 and not getting into the minutia of orthopedics and
5 neurosurgery and whatnot. Do we still agree with
6 that or do we have any additional commentary for
7 that?

8 (No response.)

9 Okay. We will leave the residents and fellows
10 alone.

11 Recommendation No. -- I'm sorry, go ahead.

12 MR. SUMMERS: Hey, David Summers. I picked up
13 on a spelling mishap. I looked up -- I'm trying to
14 find it. I have it printed. I'll find it and I'll
15 let you know, since we don't have to vote on it.

16 MS. DiNOVA: Yeah. Thank you.

17 All right. Recommendation No. 7 was that,
18 trauma centers, at the request of the Department,
19 shall submit NASA (phonetic) station along with
20 supporting documentation declaring the number of
21 surgical specialty medical residents and fellows.
22 This just said that we agreed on who a resident and
23 fellow is, and now we're going to sign an
24 attestation telling them how many we have. This is
25 word for word how the recommendation stood before

1 and the process that we did for the previous
2 assessment. Anybody have any commentary or changes
3 to make to Recommendation No. 7?

4 (No response.)

5 Okay. Moving on, so Appendix A is just the
6 Florida Statute itself that lays out where we
7 have -- where the Department has to do this
8 assessment every three years and that the Advisory
9 Council has to provide the recommendations. So
10 this is straight out of the statute. So clearly
11 we're not making any changes to that one.

12 I deleted Appendix B, the public comments,
13 because we refer to the minutes from the Advisory
14 Council that is now posted onto the web site now
15 that we have that up and current, instead of having
16 to print all that and attach it to the
17 recommendations. And then also removed Appendix C
18 and Appendix D as those there actually pieces that
19 will go into the actual assessment and don't need
20 to be part of the recommendations paper itself.

21 So does anybody have any comments or changes
22 or things that we need to do?

23 (No response.)

24 I know we can't vote, but what I would like to
25 do is make sure that we can mark this as a final

1 draft so I can go ahead and take all of these red
2 lines out, make it easy to reformat, and bring it
3 to the next meeting and pray that we have a quorum.

4 MR. SUMMERS: So, Lisa, David Summers.

5 MS. DiNOVA: Yes, sir.

6 MR. SUMMERS: Can we scroll back up one page
7 before the Appendix A. Okay. The spelling -- go
8 up a little higher, please, below -- yeah, right
9 there. Paragraph No. 2, trauma centers are
10 prohibited from declaring an individual -- go up.

11 MS. DiNOVA: Oh, yes, individual.

12 MR. SUMMERS: And then.

13 DR. MCKENNEY: The same in the next paragraph
14 down, declare (inaudible).

15 MR. SUMMERS: How's that for typing under
16 pressure.

17 MS. DiNOVA: I'm going to take it up with
18 Michael Ressler (phonetic). He's the one who typed
19 this initially.

20 MR. SUMMERS: Yeah. The problem is we read
21 this over a thousand times.

22 MS. DiNOVA: I know. Okay.

23 MR. SUMMERS: And I think Mac found one more,
24 next paragraph.

25 MS. DiNOVA: And what is --

1 MR. SUMMERS: To declare an individual.

2 MR. KEMP: (Inaudible.)

3 MR. SUMMERS: And then I have one other area.

4 MS. DiNOVA: Yes, sir.

5 MR. SUMMERS: Scroll down two more paragraphs.

6 Right there. Okay. So, "in developing recommended

7 definition," and then you mention the "resource

8 optimal care." You need to put the 2022 version.

9 MS. DiNOVA: Yes, sir. Perfect. Thank you.

10 MR. SUMMERS: You're welcome.

11 MS. DiNOVA: Okay. Anybody else have any
12 clerical issues or recommendations to change to our
13 recommendations for the DOH?

14 (No response.)

15 I just want to mark this as a final draft so
16 that I can get this to Kate and her team. They're
17 going to be under pressure now to get this done by
18 the deadline of August. So I want to get them at
19 least a working document.

20 MR. SUMMERS: David Summers again. We can't
21 vote on it, but I would recommend that we push it
22 forward.

23 MS. DiNOVA: Okay. I'll take it. Barring any
24 other contacts, emails sent to me, I will send this
25 through as a final draft so that the Department of

1 Health can get working, and we'll bring it back to
2 our next meeting. So, if you have any additional
3 comments or changes that need to be made, send me
4 an e-mail and I'll get those put in in the next
5 seven days and then we will -- I'll get it over to
6 Kate so her and her team can start pulling numbers.
7 That work? All right. Thank you so very much.

8 The next thing that I wanted to discuss --
9 again, we're not going to be able to vote, which is
10 actually the crux of the problem. So we have our
11 bylaws of the Florida Trauma System Advisory
12 Council. They've not been revised since March of
13 2020, and what I had wanted to look at is
14 specifically down -- starting with Section -- or
15 with Article 4 -- let me pull it up here for you.
16 We have things written in that refer to the full
17 council as well as, when it comes down to voting --
18 and I'll show you, but basically we have limited
19 ourselves by these bylaws that, even though we have
20 vacancies available -- right now we have only nine
21 of the 12 seats officially appointed at the moment
22 because we've had three people who have resigned
23 from retirement and not fulfilling the roles
24 anymore and whatnot. What I wanted to do was look
25 at our bylaws and try to change some of the

1 verbiage to give us a little more leniency with our
2 voting. So, instead of it being -- like in this
3 instance, when we're voting for officers, that it
4 would be -- instead of "the full council" here, we
5 would change it to "the seated council" so that it
6 would be actual people who are currently on the
7 council in one of the appointed roles.

8 Interestingly enough -- and I wasn't going to
9 bring this up, but it says that officers should
10 serve for one year, which means in January we're
11 going to have to have a conversation about my role
12 and Candice's role as moderator and co-moderator
13 also. So I believe it was January when I -- I
14 can't remember if it was January or March. It was
15 January that we were appointed. So, at the January
16 meeting, we're going to have to discuss who is
17 going to be in those roles again, whether we're
18 going to continue on or not.

19 But what I would like to do is look at this
20 here in Article 4 where we would change it to
21 "majority of the seated council," and then also
22 down in Article 6 where it talks about the
23 meetings, it's the same thing. We have a quorum
24 defined as 75 percent or three quarters of -- it
25 currently says "appointed council members." I

1 would propose changing that to "seated council
2 members" so that we can get away from being held to
3 that nine council member because there are 12 seats
4 available. But if they haven't been vacated, then
5 we can use three quarters of the seated, or if we
6 wanted to look at -- instead doing three-quarters,
7 we wanted to change it to a majority plus one, so
8 if I wind up with six of us and -- you know, four
9 would pass a vote. I know that we can't vote on
10 that today, but I wanted to get your feedback about
11 changing this verbiage to our bylaws and whether we
12 would be more comfortable leaving it at three
13 quarters of the seated members or changing it to a
14 majority plus one, and even possibly bringing up
15 the conversation of proxies again for council
16 members who, due to their clinical requirements,
17 are unable to attend the meeting today, but they
18 could appoint a proxy to sit in and vote for them
19 for like a meeting or something like that, not
20 somebody who comes to every meeting, but who could
21 place their vote for them for a particular meeting.
22 So I would like to have some conversation around
23 that and get your thoughts, please.

24 MR. KEMP: I think we're going to have to do
25 something because we have forces that we cannot

1 control, and if you want to keep this -- I mean,
2 otherwise, for this meeting, for the (inaudible).
3 So I agree with you.

4 MS. DiNOVA: Would you prefer to keep the
5 three quarters or a majority plus one or -- you've
6 been on several councils --

7 MR. KEMP: A majority plus one.

8 MS. DiNOVA: Okay. I would be very -- other
9 council members on the line, any input on this?

10 DR. McKENNEY: This is Mark, Mark McKenney,
11 Kendall. So I agree that 75, you know, seems like
12 a -- it seems like we should do it, with so many
13 having left. So a majority plus one really, you
14 know, is often defined as a quorum. And then
15 changing "three quarter vote of all participating"
16 versus "all seated," what's the difference there?

17 MS. DiNOVA: So my only concern with saying
18 participating or active is that that might be --
19 somebody's definition of who's active. If I miss a
20 meeting, am I no longer active, versus I'm still in
21 my -- I'm still in the seat. I'm still on the
22 council. That was my only concern.

23 DR. McKENNEY: But still, a super majority,
24 but you have to be seated.

25 MS. DiNOVA: Correct. So right now we have

1 nine seated members of a 12-person panel.

2 DR. MCKENNEY: Okay. Got it. Sounds pretty
3 reasonable to me. You know, Mark McKenney, sounds
4 reasonable.

5 MR. KEMP: The only other word that you may
6 use would be "appointed."

7 MS. DiNOVA: Well, it's appointed right now,
8 but the problem isn't -- if we're not -- do you
9 count as appointed if you were appointed by the
10 governor four years ago but you've resigned? That
11 seat's now empty.

12 MR. KEMP: Okay. Okay. So you're fine.

13 MS. DiNOVA: All right. Dr. Ange.

14 DR. ANG: So I think I agree with what you're
15 saying. I just think you should just -- applying
16 the word "seated member" so there's no confusion as
17 to, you know, what that means, but I agree with the
18 "majority plus one."

19 MS. DiNOVA: Okay. I'm trying to think of
20 words on the fly here of how we would define --
21 yeah. Do you have any suggestions for the language
22 to define seated? I know what I'm trying to say,
23 but I don't know how to say it.

24 UNIDENTIFIED SPEAKER: You know, I remember
25 when we did the super majority versus majority plus

1 one, and I'm sorry if I'm missing the argument for
2 why we should get away from that, but if it's just
3 because of a lack of participation, then I think
4 what we need to do is improve participation. We
5 did that very intentionally because we were
6 concerned that you could get people jockeying for
7 position just to shape the trauma system in the way
8 they wanted, which is much harder to do with a
9 super majority than with, you know, majority plus
10 one, or whatever you want to call that, a plus-one
11 majority.

12 MS. DiNOVA: And I could go either way with
13 the number of people. My bigger concern is right
14 now saying, you know, "the appointed members"
15 because technically we have members who have
16 resigned who were appointed and nobody has been
17 appointed back into their seats. So we have empty
18 seats that we have no control over filling.

19 UNIDENTIFIED SPEAKER: Right.

20 MS. DiNOVA: So I think we need to change the
21 wording from "appointed" to "seated" and then
22 define "seated".

23 DR. McKENNEY: So seated -- okay. And then --
24 but then it should still -- I think it should still
25 be a super majority of the seated, not a plus one.

1 MS. DiNOVA: Okay.

2 DR. McKENNEY: Okay. So then it would be --
3 "seated" would be defined as a member who is
4 appointed and remains on the council right, because
5 you have people who resigned and those seats
6 weren't filled. So seated members, one who was
7 appointed and remains on the council.

8 MS. DiNOVA: Okay.

9 MR. SUMMERS: Lisa, David Summers. Do we have
10 any word on when the vacant positions might be
11 assigned on this committee and any other state
12 committees?

13 MS. DiNOVA: Not that I've heard. I know that
14 I have had my government relations folks reach out
15 from my facilities that I work for. They have
16 tried to reach out to the Governor's Office a
17 couple of times, and also I sit on this council as
18 the Florida Hospital Association Representative,
19 and I know that my government-relations folks are
20 trying to team up with FHA to also approach the
21 Governor's Office. I think it is going to take
22 council members and stakeholders who have an
23 interest in being on this council to reach out to
24 the Governor's Office and -- we're going to --
25 remember the squeaky wheel because, right now, as

1 far as anybody is concerned, we're moving smoothly
2 and we're not creating a ruckus, but the problem is
3 we're also stalled in doing any official business.
4 So we may have to make our own noise as
5 stakeholders in the trauma system. I think Ms.
6 Kate has -- no, she's nodding. So, no, my people
7 have not heard anything. I don't know if anyone
8 else has heard anything.

9 MR. McCOY: No, I just -- you know, we'll make
10 it a priority and push through our chief of staff
11 and Department of Health (inaudible)?

12 MS. DiNOVA: And, for the court reporter.

13 MR. McCOY: That was Steve McCoy.

14 MS. DiNOVA: Okay. So we can get the
15 Department of Health on board with us. They're
16 going to try. I think we need to get all of our
17 government-relations folks involved and start
18 making that push. I know many of you out in the
19 audience have applied to be part of this council
20 and want to be active participants. It's going to
21 take us making noise to get some people appointed.

22 So I'll add a definitions section here to the
23 end and fit it in where it should be properly,
24 but -- so that we have something to look at here.

25 DR. McKENNEY: And remain on council.

1 MS. DiNOVA: Is this where we should add a
2 note of, "as active members," or -- because --

3 DR. McKENNEY: Do we have any inactive
4 members? I mean, is that -- do we have something
5 other than an active member?

6 MS. DiNOVA: I mean, we have nine of us that
7 could be on the meeting today, and there's six.

8 DR. McKENNEY: I don't understand. Members
9 who are appointed to council and remain on council.
10 That's us.

11 MS. DiNOVA: Okay.

12 DR. McKENNEY: Right.

13 MS. DiNOVA: Looking here, we lay out any way
14 that -- in Section 2, Article 2 -- I know we have
15 this here somewhere. I'm trying to get to it
16 without making you dizzy. In Article 2 we lay out
17 that "Council members failing to be present for
18 three regular meetings during a single calendar
19 year shall be considered to have abandoned their
20 appointment. So as long as we're -- and again,
21 that's got a three quarters, so we'll have to make
22 sure that aligns. So we've already laid out that,
23 if you miss more than three meetings, you're
24 considered inactive and we could replace you
25 anyway. So I think that will --

1 DR. MCKENNEY: Right. So either we vote you
2 out and you're out, or we don't vote you and you're
3 staying, you're still active.

4 MS. DiNOVA: That works for me. Okay.
5 Anybody have any additional comments or --

6 DR. GINZBURG: I was going to make a comment.
7 Dr. Ginzburg. What we're saying about, if they
8 don't make three, then they automatically abandon.
9 Instead of having -- at that point maybe it's --
10 can you make it so that the council -- remaining
11 council decides the new appointees instead of
12 having to sit around and wait for the Governor's
13 Office?

14 DR. MCKENNEY: No, you can't. It's a
15 gubernatorial appointment?

16 MS. DiNOVA: Yeah. Unfortunately it's written
17 into the statute that this is a council that has to
18 be gubernatorial appointments.

19 DR. GINZBURG: I see. So, in essence, if you
20 knocked a person out or two, it may impact voting.

21 MS. DiNOVA: Absolutely. That's why --

22 (Cross talk.)

23 MS. DiNOVA: -- people who have resigned or
24 don't fulfill their role anymore, and so they've
25 resigned for that reason. So that's exactly where

1 we find ourselves right now.

2 DR. GINZBURG: That's a problem.

3 MS. DiNOVA: I agree. I've been in the role
4 since January and we haven't been able to take an
5 official vote yet. The last official vote was
6 naming me.

7 DR. GINZBURG: Well, that's ridiculous. So
8 you can't -- so what Nick was saying is you want to
9 include those that have more on the council and --
10 on the advisory counsel as part of the voting
11 members, correct?

12 MS. DiNOVA: I'm not sure I understood that.

13 DR. GINZBURG: So, for example, if you've been
14 on the council and now you're no longer on the
15 council -- for example, you've been retired from
16 the council -- should those be allowed to vote, be
17 part of the vote? Can you put that in the bylaws?

18 MS. DiNOVA: Yeah, they've resigned their
19 posts. So, no. That's part of why I wanted to
20 get -- wanted to change the wording from
21 "appointed" to "seated" so that it's the actual
22 people who are still participating and have not
23 resigned their posts.

24 DR. GINZBURG: Yeah. I see.

25 MS. DiNOVA: Okay. Well, I will make those

1 changes to this and bring it back when we meet
2 again. I would like to -- does anybody have any
3 other changes to our bylaws that you would like to
4 make?

5 (No response.)

6 When I was reading through -- I think, when we
7 last updated them, we pretty much left them intact
8 other than that. That was only big thing that I
9 thought has been a stumbling block for us was the
10 voting.

11 Okay. Hearing none, I was going to ask for
12 future business, does anybody have any
13 suggestions -- it's a little hard for me to create
14 future business at this point since we can't vote
15 on anything. But does anybody have anything you
16 would like for us to start looking into before our
17 next meeting that we would bring up for
18 suggestions?

19 DR. GINZBURG: Maybe those who don't attend
20 could supply a reason for not attending. You know,
21 if it's time, we could think about it. You know,
22 it will be tough to accomplish anything if we don't
23 get a quorum on -- at least on somewhat a regular
24 basis.

25 MS. DiNOVA: I think what I'll try to do is

1 get an e-mail out to all of the seated members
2 right now, all nine of us, and basically put forth
3 that (inaudible) in January so that we can get some
4 of this business done. Again, that would be where
5 maybe in January we have the conversation about
6 allowing for a proxy, even if we put a time limit
7 of you could have a proxy sit in for one of the
8 four meetings per year or two of the four meetings
9 or something, that at least you would make a formal
10 notification that this person is sitting in proxy
11 for me for this particular date and this particular
12 vote, just something to consider between now and
13 January.

14 DR. GINZBURG: I think that's a great idea.
15 That's what we use at the University of Miami
16 Senate. You would send a designee if you can't
17 make it. There's no limit to that. So I think
18 it's a great way to assure that someone has been
19 designated, you know, probably a week before the
20 meeting by whoever is directing it, and in that
21 fashion you won't have anyone falling out from the
22 quorum, and since the positions are only, what, one
23 year, it's -- you know, it really preserves the
24 voting --

25 MS. DiNOVA: We have three levels of

1 positions, actually. We have one-year, two-year
2 and three-year positions. They divided it up that
3 way so that not everybody would be coming out of
4 date at the same time. We would kind of cycle. So
5 we have members who were originally allotted in
6 2018 to a one-year term who are still active, and
7 we have some of us who are -- started a three-year
8 tour who are still active. So --

9 DR. GINZBURG: (inaudible) good idea, a
10 designee without a limit (inaudible).

11 MR. SUMMERS: Lisa, David Summers. We would
12 just have to check with the office, probably the
13 Governor's Office to see if we can have a designee
14 since this is a governor-appointed committee.

15 MS. DiNOVA: Okay.

16 MR. SUMMERS: Just a thought.

17 MS. DiNOVA: Yeah, just a thought. Okay. I
18 will write that down and we'll get someone to look
19 into that for us. I'm looking at Kate. Okay. I
20 will definitely -- we'll definitely put that in
21 there.

22 All right. Time for some public comments. So
23 anyone other than council members have any public
24 comments, any things that you would like to bring
25 up or discuss?

1 Okay. Yeah -- oh, is that you.

2 MS. ONL: Yeah, that's me. I just put in my
3 comments, I know that you are planning on making
4 this a final draft, but perhaps you could keep it
5 (inaudible). I know you mentioned removing all of
6 the marks, but it is helpful for people to know
7 what's been changed when they're going through for
8 their final vote next meeting?

9 MS. DiNOVA: That's true.

10 THE COURT REPORTER: This is court reporter
11 could I got the identity of the last speaker?

12 MS. ONL: Susan, S-u-s-a-n, O-n-l.

13 MS. DiNOVA: Thank you, Susan. I saw that you
14 just put that in the chapter. Thank you. Yeah,
15 you're probably right. So at least I can send it
16 to the DOH as a working draft.

17 All right. Any other public comments?
18 Anything anybody would like to bring up?

19 (No response.)

20 All right. So then, in closing, our next
21 meeting will be the week of -- it's actually the
22 17th now, January 17th to 20th, some day in there.
23 Ms. Kayla (phonetic) is working on getting us a
24 date. So sometime -- do you want to go from the
25 16th to 20th -- so the week of January 16th,

1 somewhere in there, we will be meeting in Daytona
2 Beach for our next meeting. I will get an email
3 out to all of the council members and basically beg
4 everyone to attend for that meeting so that we can
5 have a quorum to do some votes, and unless anyone
6 has anything else, I would take a motion to
7 adjourn, but I don't know that I need one.

8 I'll take a motion to adjourn the meeting
9 unless anybody has something to add.

10 UNIDENTIFIED SPEAKER: I have a question.
11 This Zoom thing is great for this meeting. I mean,
12 it turns it from a two-day meeting to a two-hour
13 meeting. Are we doing this under some special
14 dispensation from the Governor's Office or can we
15 continue this? Or is this strictly during the
16 COVID emergency?

17 MS. DiNOVA: No. We've had an option so that
18 people could attend, just like for the comments
19 hours, we have a virtual motion, and I think -- I
20 will go back and read in the bylaws and make sure,
21 but I'm pretty sure we even counted -- attendance
22 is counted as either in person or on line. So I
23 think that we're good to keep this as an option for
24 people who can't travel. I know lots of us have
25 critical duties that sometimes preclude us from

1 that. So, as far as I know, we can keep doing it.

2 DR. MCKENNEY: All right. Well, I second your
3 non-motion. I second the non-motion to adjourn.

4 MS. DiNOVA: Non-motion I love it. I'm using
5 that from now on.

6 All right, in that case, thank you, everybody,
7 for participating and for putting up with me for
8 the AV technicalities in the beginning, and I will
9 see you sometimes in January in Daytona.

10 (Proceedings concluded.)

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CERTIFICATE OF REPORTER

I, RAY D. CONVERY, do hereby certify that I was authorized to and did report the foregoing proceedings, and that the transcript, pages 2 through 56, is a true and correct record of my stenographic notes.

Dated this 16th day of December, 2022, at Tallahassee, Leon County, Florida.



RAY D. CONVERY

Court Reporter