

Transcript of Florida Trauma System Advisory Council  
(FTSAC) Meeting

DATE TAKEN: Thursday, October 5, 2023

TIME: 12:12 p.m. - 2:07 p.m.

PLACE: UF Health Professional Park  
330 SW Williston Road  
1st Floor  
Gainesville, Florida 32608

STENOGRAPHICALLY REPORTED BY:  
Erica Owen, Notary Public  
and Florida Professional Reporter

1	A P P E A R A N C E S	
2		
3	Lisa DiNova	FTSAC moderator
4	Candace Pineda	FTSAC co-moderator
5	Kate Kocevar	FDOH trauma section administrator
6	Laura Hamilton	Bayfront Health trauma program manager
7	Dr. Peter Pappas	FCOT chairman
8	Dr. Darwin Ang*	HCA Florida Ocala
9	Dr. Mark McKenney*	HCA Florida Kendall
10	Dr. Tracy Zito	Orlando Health
11	Dr. Steve Smith	UF Health Gainesville
12	Dr. Michael Taylor	Lakeland Regional Health
13	Dr. David Ebler*	UF Health Jacksonville
14	Dr. Michael Taylor	Lakeland Regional Health
15	Dea Sowers	St. Joseph's Children's Hospital
16	Lianne Brown*	Ascension Sacred Heart Pensacola
17	Melanie Sinclair*	Ascension Sacred Heart Pensacola
18	Susie Mitchell*	
19	Amy Berger	
20	Alisha Douglas	Lakeland Regional Health
21	Tabitha**	
22	Magda**	Jax South
23		
24		
25		

\* Participating via Microsoft Teams  
 \*\* Unidentified speakers

1 Meeting began at 12:12 p.m.:

2 MS. DINOVA: Okay. Welcome, everyone. I'm  
3 going to go ahead and call this meeting to order of  
4 the Florida Trauma System Advisory Council and our  
5 stakeholder meeting for October 5th.

6 Thank you to all of you who are here in  
7 person. I love this. And thank you to all who  
8 joined online. I see that there are a ton of you.  
9 I hope that you can hear us and see our screen  
10 well.

11 A few housekeeping things to -- to go over is  
12 if you are online, please make sure that your  
13 microphone is muted unless you are getting ready to  
14 make a comment. If you are going to make a  
15 comment, please state your name and your facility  
16 before doing so so that our court reporter, who is  
17 here in the room can, catch that information for --

18 (Brief interruption.)

19 MS. DINOVA: I don't know how to make that  
20 stop.

21 Sorry. We were making sure that we have  
22 everybody muted because we're getting a lot of  
23 feedback.

24 MS. KOCEVAR: Hold on. Everyone who is  
25 online, please mute.

1 MS. DINOVA: Okay. So a couple more  
2 housekeeping things so I can finish up, since I've  
3 completely lost my train of thought, is that there  
4 will be no official voting at this meeting today.  
5 As you know all, we don't have a consensus to do  
6 that voting.

7 But what we are working on is creating a  
8 consensus document and having consensus minutes to  
9 go forward and keep the business of this council  
10 active as we wait for the appointment process to  
11 move through. We're going to talk about some of  
12 that in a bit.

13 As we are a state meeting, will you please  
14 rise and state the pledge of allegiance with me.

15 THE GROUP: (Complies.)

16 MS. DINOVA: Thank you very much.

17 So moving through our agenda, the next thing  
18 would be for meeting minutes. Because we cannot  
19 vote to approve minutes, I just want to remind  
20 everyone that they are now posted on the FTSAC web  
21 page on the Florida health website. So just go to  
22 the DOH website, look for trauma and then find the  
23 Trauma System Advisory Council, and those court  
24 reported minutes are posted there for your review.

25 We are also going to have a couple of updates,

1 but first, I need to do a roll call for council  
2 members. It will only take a second.

3 Dr. Ang, are you on the line?

4 Okay. Dr. Nemias?

5 Dr. McKenney?

6 Okay. And myself. I'm here. Glad to see  
7 you-all today.

8 MS. KOCEVAR: Lisa DiNova.

9 MS. DINOVA: Lisa DiNova. Sorry. I'm so used  
10 to having the thing.

11 All right. We're going to move straight into  
12 doing some updates. We're going to start off with  
13 Ms. Kate from the state and have her give our DOH  
14 update, please.

15 Oh, if you're online, you don't get to witness  
16 the fact that we have a lavalier mic taped to a  
17 water bottle, so we're passing it back and forth.  
18 Please excuse the background noise.

19 MS. KOCEVAR: All right. So thank you, Lisa.  
20 Appreciate it.

21 Kate Koccevar from the Florida Department of  
22 Health, trauma section administrator. First update  
23 will be regarding the council. As you know, back  
24 in July, we did --

25 (Brief interruption.)

1           MS. KOCEVAR: We were -- we were instructed to  
2           try and collect applications from individuals who  
3           wanted to serve. Please understand that we were  
4           just the collection body to such a thing. We did  
5           receive applications and the necessary information  
6           that was required. We then provided that to our  
7           bureau chief, on to our division director, and it  
8           is currently, as far as I know, with the DOH  
9           leadership. So we were just the deliverer of the  
10          package. All right? So I want to make sure  
11          everybody understands that.

12          At this point in time, it's still a  
13          gubernatorial appointment, and that is what we are  
14          waiting to see what happens. So as soon as we have  
15          some information, we will be glad to pass it on to  
16          everyone else.

17          Secondly is the trauma system assessment. As  
18          I have stated in our meetings prior -- some have  
19          heard this numerous times; others will hear it for  
20          the first time -- the assessment --

21          (Brief interruption.)

22          MS. KOCEVAR: -- was actually completed as  
23          required on August 31st, 2023. As most of you  
24          might know, living here in Florida, we did a  
25          hurricane that was happening during that time also.

1           And so the resources and attention had to be given  
2           to the citizens of Florida who suffered through  
3           that. That said, the report then was put into what  
4           we consider a core flow floater that starts to move  
5           through the DOH leadership. The information has  
6           been sent over to them. They are currently  
7           reviewing that information. Any feedback that we  
8           get, we will certainly pass on. I do not have a  
9           particular date at this point to say when it will  
10          be published. What I will tell everyone is that  
11          when it is published, it will show up on the  
12          Florida Department of Health trauma's website. If  
13          you go out there now, the 2020 version is still  
14          sitting there. So you may want to refresh your  
15          memory about some of the definitions in the  
16          application and all the things that kind of go into  
17          that report so that when the 2023 is green-lighted  
18          to be published, we will put it out there. And so  
19          you'll have an opportunity then to review it,  
20          download it and whatever you would like to do, but  
21          that will be out there at that time. I just don't  
22          have a specific date at the moment.

23                 And then lastly, I'm trying to think if  
24                 there's anything else I need to report on.

25                 Lisa, what else do I need to give you?

1 MS. DINOVA: I think that's about it.

2 MS. KOCEVAR: Is that it? The two pieces were  
3 the things most important as far as that is  
4 concerned.

5 I will say lastly, I would love to make sure  
6 that everyone who is listening today, as we get  
7 into looking at our draft standards, the  
8 subcommittee on comments hours has been very busy.  
9 It's been very productive and I thank everyone for  
10 participating in that.

11 The standards that will be reviewed today are  
12 going to be the culmination of feedback from our  
13 public sector, our stakeholders, from our advisory  
14 council members who did not vote on anything during  
15 that time, it was just discussed, and will have an  
16 opportunity then to see where we are headed.

17 I do know that Laura Hamilton will be giving  
18 us some updates on what new dates we'll be having  
19 for comments hours and we will certainly get that  
20 published out onto the website. For anyone who's  
21 not aware of it, there is a comments hours tab and  
22 every comments hours listed out there with the work  
23 product that has been created so far to the  
24 standards. So you'll get an idea, following the  
25 story along from day to day.



1           We also have the transcripts that are  
2           published of all those who participated so that  
3           there is complete sunshine on the information and  
4           data that we're doing. But we'll get ready to  
5           start rolling again in mid October, once we get  
6           through these meetings here.

7           Does anyone have any questions of me?

8           Yes, Michael. Michael Taylor.

9           MR. TAYLOR: Michael Taylor from Hillsborough  
10          County.

11          Kate, when the -- when the report does come  
12          out, will you -- will you keep the old report on  
13          there so we can have the two for comparison, or  
14          does the -- does the old one have to come off?

15          MS. KOCEVAR: Michael, I am not aware that the  
16          old one would have to come off. I would certainly  
17          have to discuss that, probably with general  
18          counsel, you know, to -- to get that. I can say at  
19          this point, that has not really been mentioned, so  
20          I don't have a reply at this point. But I will  
21          certainly try and investigate that for you.

22          MR. TAYLOR: It just -- it just would be nice  
23          to -- as the -- as -- since there are going to be  
24          three years, which is kind of a short time frame,  
25          that -- it would be nice to see for comparison, you

1 know, that they're there for comparison and we know  
2 where they are. We don't have to go downloading  
3 them and saving -- saving them ourselves and that.  
4 Just a thought.

5 MS. KOCEVAR: Okay. Well, thank you. I will  
6 certainly take that back with me.

7 Anyone online have any questions of me?

8 All right. Well, thank you very much.

9 MS. DINOVA: Okay. Normally, I would ask for  
10 an update from the EMS advisory council. As you  
11 guys know, Mac Kemp has retired or is in the  
12 process of retiring, so he is not here today to  
13 give us an update. We will be reaching out to both  
14 the EMS medical director and EMS advisory council  
15 to see whom they'd like to start sending to these  
16 meetings so we can keep getting those reports in.

17 There's Dr. Pappas. So we'll move on to the  
18 Florida committee on trauma update from Dr. Pappas.  
19 Come share our water bottle.

20 DR. PAPPAS: Okay.

21 MS. DINOVA: I think we're working on getting  
22 another microphone for the audience, but until  
23 then . . .

24 DR. PAPPAS: The magic microphone water  
25 bottle.

1           Thank y'all very much. Just want to say,  
2           Florida committee on trauma, many if you were  
3           there, actually, we just completed our business  
4           meeting about half an hour ago. Successful  
5           meeting. Major issues I think that are relevant to  
6           this -- this group, number one, we certainly,  
7           again, reinforced our -- reinforced our great  
8           desire to see the Florida Trauma System Advisory  
9           Council reconstituted and all seats fully  
10          reappointed so that we can have a quorum and really  
11          proceed with the people's business when it comes to  
12          our state trauma system.

13          As I mentioned in our business meeting in  
14          April of this year, I, on behalf of Florida  
15          committee on trauma, did send a letter to Surgeon  
16          General Ladapo, asking for just that, for a  
17          reappointment or reconstitution of the FTSAC. And  
18          separately, in August of this year, Florida  
19          committee on trauma sent forward a letter asking  
20          again for the Department of Health to begin the  
21          process of preparing for an ACS state-level  
22          consultative visit for Florida. Both I think are  
23          critical issues and certainly, along with our  
24          trauma standards, will certainly require a  
25          functioning Florida Trauma System Advisory Council.

1 (Brief interruption.)

2 DR. PAPPAS: Hi, Mark.

3 In addition, as I mentioned -- as I mentioned  
4 in the meeting, we are also establishing an EMS  
5 committee for the Florida committee on trauma with  
6 the goal again to further bridge the link between  
7 this committee, once it's reconstituted, with the  
8 EMS advisory council. And Dr. Jose Diaz, trauma  
9 medical director for Tampa General, will be our  
10 first vice chair. And by special dispensation from  
11 the central committee of the committee on trauma,  
12 Dr. David Shatz, formerly of the University of  
13 Miami, currently of the University of California,  
14 Davis, will be allowed to act as an ad hoc advisor  
15 for the group. And as many of you may recall,  
16 Dr. Shatz was instrumental in many of the  
17 initiatives that have been established over the  
18 years for trauma and EMS here in Florida.

19 So certainly looking forward to the future and  
20 certainly looking for collaboration with the future  
21 FTSAC and the Florida committee on trauma to only  
22 strengthen. And, again, we all eagerly await  
23 continued work on behalf of our state trauma  
24 standards and certainly want to really --

25 (Brief interruption.)

1 DR. PAPPAS: -- thank Laura Hamilton and her  
2 group for her hard work.

3 And that concludes my reports.

4 MS. KOCEVAR: Dr. Pappas, will you just kindly  
5 identify yourself for the court reporter and where  
6 you're from.

7 DR. PAPPAS: I am Dr. Peter Pappas, three Ps  
8 altogether, two in the middle, chair of the  
9 American College of Surgeons -- chair of the  
10 American College of Surgeons, Florida committee on  
11 trauma.

12 MS. DINOVA: I just would like to remind  
13 everybody, if you're joining us online, to please  
14 mute your line.

15 Okay. Thank you-all. Hey, this is a village  
16 here.

17 All right. So moving forward. Looking at  
18 some -- okay. Sorry, y'all. So moving forward  
19 today, we're going to be starting to look at some  
20 of our trauma center standards.

21 (Brief interruption.)

22 MS. DINOVA: He's back.

23 Hi, Dr. McKenney. I have your attendance down  
24 for the -- for the record. Thank you.

25 We're going to be looking at the Florida

1 trauma center standards that we have been reviewing  
2 in the comments hours. I appreciate everybody  
3 who's been joining on those comments hours. And if  
4 you have not, I would encourage you to please start  
5 stalking the advisory council tab on the DOH  
6 website and start monitoring when those meetings  
7 are going to be. The Teams links are there and  
8 we'll get you in.

9 Also, Dr. Pappas and Candace have been kind  
10 enough to start sending those e-mails out,  
11 reminding everybody when those meetings are because  
12 the more participation we get, the better our rule  
13 book will be moving forward.

14 So today, Laura is going to walk us through  
15 about six of those standards that we have come to  
16 some consensus language on. We're looking for some  
17 additional feedback from the group today. We'll  
18 start with -- by acknowledging the council members  
19 first to see if they have feedback and then out to  
20 our stakeholders and then for public comments.

21 DR. MCKENNEY: And one quick second. This is  
22 Mark McKenney. I just wanted to record my  
23 attendance. And I don't think I got the invite,  
24 and there's a few other people that have texted me.  
25 But I am on the --

1 MS. DINOVA: For today?

2 DR. MCKENNEY: Yeah. I just got it.

3 MS. PINEDA: I'll send it to them.

4 MS. DINOVA: Candace is going to send it to  
5 them right now.

6 MS. PINEDA: I'm trying. It's not letting me.

7 MS. DINOVA: Try Dr. Nemias. He was on  
8 earlier.

9 MS. KOCEVAR: Nemias sent it. So I'll send it  
10 out to Nemias.

11 DR. ZITO: Yeah, when you guys were talking, I  
12 texted everybody and --

13 DR. MCKENNEY: Yeah, I just got it.

14 MS. DINOVA: Thank you, Dr. McKenney. And  
15 hopefully now, Dr. Ang will get it and be able to  
16 join us as well.

17 So we will make sure -- we'll double-check  
18 the -- the website. But usually, the links are  
19 posted there with the announcements that are up  
20 there. So we'll double-check that the Teams links  
21 are -- are adjoined also.

22 Okay. So, again, moving forward, we're going  
23 to be looking at these six standards today. This  
24 is your opportunity to throw in your questions,  
25 your comments, your concerns, any language changes

1           that you'd like to propose so that we can start  
2           moving forward with getting this consensus document  
3           pulled together. Once this document has been gone  
4           through, all of the standards, we'll then turn it  
5           back over to the AFTC and the FCOT to get their  
6           formal agreement that they are -- that they also  
7           align with this language, bring it back to the  
8           council, and then we will present it to the DOH  
9           at -- like I said, as a consensus document from our  
10          stakeholders.

11                 So with no further adieu, give me just a  
12           second to switch screens, and we're going to look  
13           at the Word document live. And Ms. Laura is going  
14           to walk us through the changes for six of these  
15           standards so that we can get your comments.

16                 I've been asked to please remind everyone  
17           again, before you make comments, whether you are in  
18           the room or online and even if it's your 17th  
19           comment, please make sure that you state your name  
20           and where you are from so that the court reporter  
21           can catch that for the minutes. Thank you.

22                 All yours, Laura.

23                 MS. HAMILTON: Laura Hamilton, Bayfront  
24           Health.

25                 So first, thank you to everybody that has been



1           on these calls every single week. Lisa said it's,  
2           you know, a village, and it really is taking a  
3           village to do all of this. I'm just -- yeah.

4           Okay. I'm going to start here with the  
5           administrative standard.

6           MS. DINOVA: Sorry. I don't know what's going  
7           on right now.

8           MS. HAMILTON: So the administrative standard.  
9           So I'm just going to read through -- oh, yours?  
10          Okay. It just doesn't have all of the red.

11          MS. DINOVA: Oh, let's see. Sorry, guys.  
12          We're -- we're sharing a laptop as well, so we want  
13          to make sure that we can see all of the changes.

14          And bear with some of the content because we  
15          know that it's a challenge when we're doing these  
16          red-line versions. So sometimes it's a little bit  
17          challenging to see where the changes have been  
18          made. So Laura has added those all up to the top  
19          now, and you'll see the red-line version of what  
20          we've taken out below it. I think this is the --  
21          it should show it now.

22          MS. SOWERS: Dea Sowers, St. Joe's Children.

23          Is there any way we can close the chat on the  
24          screen so -- because it's very difficult for us to  
25          see in the room.

1 MS. DINOVA: Yeah. We can now. I just wanted  
2 to see if anybody was trying to log in before.

3 MS. PINEDA: I'll monitor it for you.

4 MS. DINOVA: Thank you.

5 MS. HAMILTON: Is that better? I can probably  
6 go a little bit bigger.

7 MS. DINOVA: Yeah. She's trying do that over  
8 there.

9 MS. PINEDA: Okay.

10 MS. HAMILTON: Okay. So for the  
11 administrative standard, just to orient you to the  
12 page here, normally, when we talk about these  
13 things, all of the texts in red are the 2022 ACS  
14 standards that were recommended to be included into  
15 the new document by the subcommittee. And when we  
16 look at it today, there's going to be more red than  
17 you're used to seeing and that's because, just for  
18 clarity, I took everything that we've been working  
19 on plus the stuff that we were keeping from Florida  
20 and smooshed it all together and added it up top.  
21 And that's just so that we can actually see it  
22 without stumbling over all of the red lines, but  
23 they're all still in here, if that makes sense.

24 So for the administrative standard, no wording  
25 changes to the introduction. And so starting with

1           A, there shall be demonstrated commitment to trauma  
2           care by the hospital's board of directors,  
3           administration, medical staff and nursing staff to  
4           treat any trauma patient presented to the facility  
5           for care. Methods of demonstrating commitment to  
6           the trauma center and system by the hospital shall  
7           include but not be limited to the following:  
8           Establishment of policies and procedures for the  
9           maintenance of the services essential to a trauma  
10          center and system as outlined in the standards  
11          document, providing patient care data as requested  
12          by the department or its agents. Every trauma  
13          center is required to establish well-defined  
14          transfer protocols that encompass patient types,  
15          anticipated time frames for the initiation and  
16          acceptance of transfers and pre-identified  
17          destination facilities for outbound transfers.

18                 And Number 4, in every trauma center, the  
19          choice to transfer an injured patient must be  
20          solely determined by the patient's requirements  
21          without taking into account their health insurance  
22          plan or payment status.

23                 And all trauma centers, when transferring  
24          trauma patients, the health care provider  
25          initiating the transfer must establish direct

1 communication with the receiving provider to  
2 guarantee a safe transition of care. This  
3 communication may take place via a transfer center.

4 You want to get the whole thing then . . .

5 Okay. Yeah. Let's -- let's break this up  
6 into little bits.

7 So in reviewing that --

8 (Brief interruption.)

9 MS. HAMILTON: Okay. So first, I'm going to  
10 open this up to council for any comment.

11 MS. DINOVA: This is Lisa DiNova. I did just  
12 want to point out one other thing. As we go  
13 through this document, you guys will -- you will  
14 see that how our current Florida standards are  
15 right now, we have basically three sets of  
16 standards, one for Level 1, one for Level 2 and one  
17 for pediatrics. What we've done for this document  
18 is taken --

19 (Brief interruption.)

20 MS. DINOVA: We've taken a more direct  
21 approach, such as laid out in the Gray Book, where  
22 we're going to have one set of standards. And if  
23 something only applies to a particular level, it  
24 will be noted in the standard. So that's why  
25 you'll see that most of these will say in all

1 trauma centers or in every trauma center. We're  
2 encompassing all three of the types that we have in  
3 Florida into one unless noted otherwise. Okay? So  
4 that's something for that.

5 Dr. McKenney, I know you're on the line. As a  
6 council member, do you have any comment on this  
7 section?

8 And I don't know if Dr. Ang was able to join.

9 DR. MCKENNEY: No, no comment right now.

10 MS. DINOVA: Okay. Thank you.

11 Dr. Ang, were you able to join?

12 No?

13 MS. PINEDA: I think he was getting on. I  
14 know he said (unintelligible).

15 MS. DINOVA: Okay. Candace is watching that  
16 too. Okay.

17 And I also, as a council member, I'm -- I  
18 agree with -- with this first section that we  
19 reviewed.

20 So we'll open it up to our stakeholders and  
21 the public. Does anyone in the room or online have  
22 comment or want to have discussion about this first  
23 section?

24 Oh, Dr. Ang, I see you joining in now. Thank  
25 you. This is Lisa. I've got your name on for

1 attendance.

2 DR. ANG: Thanks. I didn't see this on the  
3 calendar invite. I apologize. It didn't show up.

4 MS. DINOVA: I think they went out as all  
5 separate invites, so it was easy to miss one.  
6 We're just getting started on the revision of the  
7 standards. This is the very first section that we  
8 have reviewed and gone over. This is for  
9 Standard I --

10 DR. ANG: Okay.

11 MS. DINOVA: -- the administrative standard.  
12 And we've only looked at this very first section.  
13 So if you could take just a brief moment and read  
14 through, these are the proposed language changes to  
15 it, basically encompassing the ACS standards into  
16 it with some wordsmithing so that we don't  
17 plagiarize. And then if you have any comment or  
18 language changes that you'd like to present, we're  
19 open to that. And we've opened up to stakeholders  
20 and the public, anybody online.

21 DR. ANG: Thank you.

22 MS. DINOVA: No one in the room.

23 No one online.

24 Okay. We'll move on to the next section.

25 MS. HAMILTON: Okay. So next, in all trauma

1           centers the institutional governing body, hospital  
2           leadership and medical staff must consistently  
3           exhibit unwavering dedication and allocate the  
4           essential human and physical resources required to  
5           effectively deliver trauma care in alignment with  
6           the verified level throughout the verification  
7           cycle.

8           Examples of demonstrating this commitment  
9           include approval of the establishment of the trauma  
10          center by the hospital board of directors or other  
11          administrative governing authority, demonstrated  
12          commitment to adhere to the standards mandated for  
13          the level of verification and committing to provide  
14          the essential personnel facilities and equipment  
15          required to facilitate compliance with the  
16          prescribed standards.

17          C, hospital administration must display its  
18          backing for the research program.

19          And that you can see is for Level 1 in  
20          pediatric centers only.

21          Evidence of support for the research program  
22          entails documenting aspects such as the following.  
23          And that's basic laboratory space, sophisticated  
24          research equipment, advanced information systems,  
25          biostatistical support and salary support for basic

1           and translational scientists or seed grants for  
2           junior investigators. There must also be  
3           commitment to postgraduate education.

4           And I will stop right there. So let's open  
5           that up for council comment.

6           MS. DINOVA: Okay. There's the whole section  
7           that we've reviewed.

8           Dr. McKenney or Dr. Ang, do you have any  
9           comments?

10          DR. ANG: No, I think this looks good.

11          MS. DINOVA: I agree.

12          So I'd like to open it up to the room. Anyone  
13          in the room, stakeholders?

14          I don't see anybody reaching for a microphone.

15          So anyone online?

16          Okay. We'll move on to the next section.

17          These will also be posted after the meeting.

18          We'll get these posted to the advisory council  
19          website so you can review it further before they  
20          come to the AFTC and FCOT for final approval.

21          MS. HAMILTON: Okay. Next, in all trauma  
22          centers, diversion protocols must receive approval  
23          from the trauma medical director and encompass the  
24          following elements: The trauma surgeon's  
25          concurrence in the decision to implement the



1 diversion, a procedure for notifying dispatch and  
2 EMS agencies and a diversion log for documenting  
3 the reason for diversions and their duration.  
4 Additionally, all trauma centers must ensure that  
5 the total duration of diversions during the  
6 reporting period does not surpass 400 hours.

7 And let's just stop there for a sec.

8 Council, any comments on the diversion  
9 section?

10 DR. ANG: So was that 400 hours derived from  
11 the ACS requirements?

12 MS. HAMILTON: Yes. It was.

13 DR. ANG: Okay.

14 MS. DINOVA: Yes. It lays out 400 hours  
15 through the reporting period, which then is three  
16 years. We may need to define that here. We're  
17 going to have to define the reporting period,  
18 because currently in Florida, our reporting period  
19 is seven years, so we may need to change that  
20 from reporting period to every three years, would  
21 be my recommendation.

22 DR. ANG: Yeah, I agree with that.

23 MS. DINOVA: Okay.

24 DR. MCKENNEY: Yeah, that seems -- this is  
25 Mark McKenney. That seems reasonable.

1 MS. HAMILTON: Stakeholders, are you in  
2 agreement with that?

3 DR. SMITH: Question. Steve Smith,  
4 UF Gainesville.

5 Is that 400 hours --

6 MS. DINOVA: She's bringing -- she's bringing  
7 you a microphone.

8 DR. SMITH: Steve Smith, UF Gainesville.

9 Is that 400 hours equivalent to 5 percent of  
10 the time during the reporting period?

11 MS. DINOVA: I'm looking for my math majors in  
12 the room.

13 UNIDENTIFIED SPEAKER: Sorry. What was the  
14 question?

15 MS. DINOVA: It's probably about that.

16 MS. SOWERS: Dea Sowers, St. Joe's Children.

17 I don't know about percentage-wise, but it  
18 averages, like, 183 hours per year and  
19 11.something-something-something hours per month  
20 over a three-year period.

21 DR. SMITH: The ACSU looks at it as -- if  
22 you're dovetailing, as 5 percent during the  
23 reporting period, which is a year.

24 MS. DINOVA: So the current Gray Book says  
25 400 hours per -- per reporting period of three

1 years.

2 DR. SMITH: Okay.

3 MS. PINEDA: 400 is a little less than 5  
4 percent. So (unintelligible).

5 DR. SMITH: 5 percent per year during the  
6 period.

7 MS. DINOVA: Okay. Candace just did some math  
8 for us, and she said that it is -- 400 hours is  
9 just under 5 percent.

10 MS. PINEDA: 438 hours would be 5 percent of  
11 one year.

12 MS. DINOVA: One year.

13 DR. SMITH: Yeah. I think it's one year  
14 because the PRQ only looks one year back.

15 MS. HAMILTON: Oh, right. The reporting  
16 period is the 14 months or so, right, or --

17 DR. SMITH: The reporting period is one year  
18 for the PRQ. So 400 hours or 5 percent during one  
19 year, I think, is what that is intended to be.

20 MS. PINEDA: So annually instead of every  
21 three years for that sentence.

22 This is Candace Pineda, Memorial Regional.

23 MS. HAMILTON: Thank you for that.

24 DR. SMITH: Sure.

25 MS. HAMILTON: So then that would be changed

1 to, additionally, all trauma centers must ensure  
2 that the total duration of diversion does not  
3 surpass --

4 (Brief interruption.)

5 MS. HAMILTON: -- 400 hours annually.

6 Any other stakeholder comment?

7 MS. DINOVA: Anyone online have any comment  
8 for the . . .

9 Candace is watching the chat. I just see a  
10 red dot, so I can't . . .

11 Okay. We'll make those -- we'll note those  
12 changes there then.

13 All right. Moving on to the next section.

14 MS. HAMILTON: Next, the hospital's chief  
15 executive officer has overall responsibility for  
16 compliance with all trauma center standards. The  
17 CEO or his or her designee shall ensure that all  
18 staff involved with the care of the trauma patient  
19 are aware of their responsibilities as required by  
20 the trauma center standards.

21 The hospital shall ensure that the trauma  
22 medical director is responsible and accountable for  
23 administering all aspects of patient -- of trauma  
24 care. Therefore, the trauma medical director shall  
25 be empowered to enforce the trauma center standards

1 with other medical and clinical departments in the  
2 hospital. The trauma program manager shall perform  
3 under the direction of the trauma medical director  
4 and shall interact with all departments on behalf  
5 of the medical director.

6 When there are issues that the trauma medical  
7 director has been unable to resolve through the  
8 hospital's organizational structure, the hospital  
9 shall provide a specific mechanism to ensure that  
10 the medical staff or CEO address such unresolved  
11 issues. This mechanism shall include direct  
12 consultation with the affected services, including  
13 but not limited to trauma and emergency services.

14 And last, when the trauma medical director is  
15 unavailable to the trauma service, such as  
16 vacation, out-of-town conferences, illnesses, the  
17 medical director shall delegate authority to  
18 another trauma surgeon to carry out the above  
19 administrative functions.

20 And that would be the end of the  
21 administrative standards. So let's open that up to  
22 council comment.

23 MS. DINOVA: Dr. McKenney or Dr. Ang?

24 DR. MCKENNEY: No -- no, issues on that.

25 DR. ANG: I have no issues, what is written.

1           There was previously a section in terms of vetting  
2           consultants. Is that still within the standards?

3           DR. ZITO: I think that's under the trauma  
4           medical director.

5           MS. DINOVA: I think so too. I think that's  
6           in a different section for the trauma medical  
7           director duties.

8           DR. ANG: Okay. Sounds good.

9           MS. DINOVA: I think that -- yeah. So we  
10          moved over to the other standard.

11          MS. HAMILTON: Yes. Those were moved over to  
12          the Standard II.

13          MS. DINOVA: Okay. Any comment from anyone in  
14          the room?

15          Okay. Anyone online have any additional  
16          comments or feedback?

17          All right, guys. I think we have a consensus  
18          on Standard I. One down, 19 to go.

19          MS. KOCEVAR: Not all today.

20          MS. DINOVA: Okay. Give us just a second.  
21          We'll get Standard II pulled up and go through the  
22          same way.

23          MS. HAMILTON: Next is going to be  
24          Standard IX.

25          MS. DINOVA: Oh, I lied. Standard IX.

1 MS. HAMILTON: Yes. So -- and, again, at the  
2 end of the document is all of the carefully edited  
3 content with the recommended standards and the --  
4 the current Florida standards all mixed together.  
5 So I've just taken that and recreated it up top to  
6 make it a little easier.

7 So for equipment, we didn't change anything  
8 with the introduction. The rapid resuscitation,  
9 emergency management and subsequent care of trauma  
10 patients requires specialized equipment and  
11 supplies. This equipment may be expensive and  
12 unique to the care of trauma patients so personnel  
13 should have appropriate training and orientation of  
14 the use, care and maintenance of this equipment.

15 Medical supplies and equipment requirements  
16 for the care of adult and pediatric trauma patients  
17 in the treatment areas indicated below shall be  
18 readily available and shall include at a minimum  
19 the following.

20 For the trauma resuscitation area, cerebral  
21 monitoring equipment, airway control and  
22 ventilation equipment, including various sizes of  
23 laryngoscopes and endotracheal tubes, bag valve  
24 mask resuscitator, mechanical ventilator, oxygen  
25 masks and cannulae and oxygen, cardiopulmonary

1        resuscitation cart, including emergency drugs and  
2        equipment, Doppler monitoring capability,  
3        electrocardiograph, oscilloscope, defibrillator,  
4        monitoring equipment for blood pressure and pulse  
5        and then electrocardiogram, pacing capability,  
6        pulse oximetry, standard devices and fluid for IV  
7        administration, sterile surgical sets for airway,  
8        chest, vascular access and burr hole capability,  
9        suction devices and nasogastric tubes, telephone  
10       and paging equipment for priority contact of trauma  
11       team personnel, ultrasound for fast examination,  
12       thermal control devices for patients, IV fluids and  
13       environment, two-way radio communication with  
14       prehospital transport vehicles. Radio  
15       communication shall conform to the state EMS  
16       communications plan.

17                So let's stop there for the resuscitation  
18       area. And, council, comments?

19                MS. DINOVA: So this is Lisa. I just wanted  
20       to remind everyone that during the comments hours,  
21       we had some discussion about these and did we need  
22       to list equipment. And it was felt that because  
23       these standards will be utilized by new programs,  
24       by new program managers, by new medical directors  
25       that we did sort of need to have a minimum



1 standards recipe book out there for them to be able  
2 to have those check boxes to go through. So it was  
3 felt that we did need to have just a list of  
4 minimum requirements for folks to be able to -- to  
5 utilize. So that's why we do have some of this  
6 listed out still. So that's my only comment on  
7 this.

8 Dr. McKenney or Dr. Ang?

9 DR. ANG: I don't see a problem with this list  
10 as a minimum standard.

11 DR. MCKENNEY: Hey, this is Mark. I don't --  
12 Mark McKenney.

13 I don't have an issue either in terms of it  
14 being the minimum. But, you know, pager is getting  
15 to be a dated term. I haven't seen a pager in a  
16 hospital in --

17 DR. ZITO: I still have one.

18 UNIDENTIFIED SPEAKER: I still have one.

19 MS. DINOVA: We still have them.

20 DR. MCKENNEY: Okay. Some -- some people have  
21 moved on to iPhones.

22 MS. DINOVA: We do both.

23 DR. ZITO: We do both.

24 DR. MCKENNEY: Okay. It works  
25 (unintelligible) you know, either/or.

1 MS. DINOVA: Okay. Thank you.

2 Any other comments?

3 All right. Anyone in the room?

4 Yes.

5 MR. TAYLOR: Michael Taylor from Hillsborough  
6 County.

7 Along with using old terms right there in  
8 Number 5 -- oh, hello.

9 Is it on?

10 It is on.

11 Michael Taylor, Hillsborough County.

12 Number 5 is on the screen. The  
13 electrocardiograph, oscilloscope, defibrillator.  
14 Is oscilloscope really necessary to -- to call that  
15 out? I mean, that's -- that's very old term.  
16 '50s. I heard, Doctor, '50s.

17 MS. HAMILTON: Any comments on that?

18 DR. ZITO: I have no problem getting -- sorry.

19 MS. DINOVA: Nope. Go ahead.

20 DR. ZITO: I have no problem getting rid of  
21 it.

22 MS. DINOVA: That's Dr. Zito.

23 DR. ZITO: Sorry. Tracy Zito from Orlando  
24 Regional.

25 DR. TAYLOR: And -- and the other piece, if

1           you could scroll down, which was about the radio to  
2           EMS equipment. Two-way radio communication, just  
3           so you're aware, the -- over at the -- at the EMS  
4           meetings in Destin this week, we heard that the  
5           state now has a contract with Pulsera, and that  
6           system allows communication but not so much -- it's  
7           not a two-way radio communication. It's a text  
8           messaging --

9           MS. DINOVA: So for --

10          DR. TAYLOR: -- type thing. So -- so that --  
11          I mean, I -- two-way radio communication I think  
12          ought to remain for now, but I just want you-all to  
13          be aware that as technology progresses, EMS  
14          hospital communications may move away from the  
15          actual radio communications.

16          MS. DINOVA: So how about if we strike the  
17          word radio and just leave two-way communication in  
18          both places?

19          MS. KOCEVAR: Yes, hi. Kate Koccevar from  
20          Florida Department of Health.

21          Why don't we use the word bidirectional?

22          MR. TAYLOR: That's good.

23          MS. DINOVA: Bidirectional. That's good. I  
24          like it. Okay. All right. We'll change that to  
25          bidirectional communications.

1 Dr. McKenney, Dr. Ang, are you good with those  
2 changes?

3 DR. ANG: I'm good with those changes.

4 About the airway, since we're modernizing this  
5 a little bit, the standard of care really is video  
6 laryngoscopy now for airways, you know . . .

7 DR. MCKENNEY: This is Mark. No -- no  
8 concerns.

9 MS. DINOVA: Okay. So we could put -- maybe  
10 we could put sizes of laryngoscopes including video  
11 laryngoscopy, if available.

12 DR. ANG: Yeah. I mean, I think -- I think  
13 that's the safest way of getting in airways to --

14 DR. ZITO: I would leave the term --

15 MS. PINEDA: Tracy Zito, Orlando Health.

16 DR. ZITO: I know even if I talk 455  
17 times . . .

18 MS. DINOVA: Go ahead, Dr. Zito.

19 DR. ZITO: I would definitely leave the term  
20 if available because as yet, not everyone has that  
21 equipment, and I don't think it should be  
22 100 percent mandated right now.

23 MS. DINOVA: Okay.

24 MS. PINEDA: This is Candace --

25 DR. EBLER: This is Dave Ebler from UF Health

1 Jax. I -- I would like to go revisit the  
2 communication. Specifically, you know, during mass  
3 casualties, you know, during 9/11, all the cellular  
4 communications went down, and the only  
5 communication that was available was -- was radio  
6 for the most part. So in addition, you know, for  
7 our kind of mass casualty plan and event planning  
8 out in the community, radio remains one of our  
9 primary, you know, methods of -- of communication.  
10 So I think we should just, you know, reconsider,  
11 you know, just leaving it as bidirectional  
12 communications. I do think that our communication  
13 system is vulnerable, and radios do play a major  
14 part of our -- our, you know, mass casualty  
15 planning.

16 MS. DINOVA: So would you -- are you proposing  
17 that we leave the term radio in there or --

18 DR. EBLER: Or at least have some type of  
19 acknowledgement that we should have a radio or  
20 something beyond cellular communication or -- I  
21 think that should be in there.

22 DR. ZITO: I think just -- Dave, I think just  
23 putting bidirectional communication with  
24 prehospital transport vehicles suffices and  
25 actually is a rather all-encompassing term.

1 MS. HAMILTON: It would open it up to more --

2 DR. EBLER: I understand. You know, leave it  
3 at that. Maybe consider removing cellular. Okay  
4 if we have bidirectional communication and then,  
5 you know, if the cellular network was down, which  
6 could happen. It has happened. And then there  
7 will be no method of communication in a mass  
8 casualty situation.

9 DR. ZITO: So then you would want to put some  
10 sort of language in about --

11 Sorry. This is Tracy Zito again.

12 Put multi -- put language in there as far as  
13 bidirectional communication and backup  
14 bidirectional communication, I think is what you're  
15 looking for.

16 DR. EBLER: Yeah. Or -- yeah, something like  
17 that 'cause I just -- I don't think we are  
18 acknowledging the vulnerability of the cellular  
19 communication system, which I think -- what we  
20 might be moving towards.

21 MS. DINOVA: I have a question. What if we  
22 left this as the everyday and in the disaster  
23 standard, we add something that says that we need  
24 to have a backup communication system from our  
25 primary system?

1 DR. EBLER: I -- I think that would be more --  
2 more than adequate.

3 MS. DINOVA: So leave --

4 MS. HAMILTON: Shall conform to state EMS  
5 communications plans. So if we were to pull that  
6 up, I wonder if there would already be something in  
7 place there.

8 MS. DINOVA: That might also cover it in  
9 there. So we could cover that, but we could -- so  
10 we could leave it like this in -- in this standard.  
11 And then in the disaster standard, we can make a  
12 note to add that each facility needs to have a  
13 backup communications plan in the event of an MCI  
14 or radio -- or just have a backup plan. I don't  
15 know how to word it right this second.

16 MS. HAMILTON: And we'll cover that one today  
17 too, so . . .

18 DR. ZITO: Yeah. This is Tracy Zito again.

19 I am -- I think if we look in that EMS  
20 communication plan, we probably will find exactly  
21 what you're talking about because that was a  
22 lesson -- that was a lesson learned in the entire  
23 country about cellular networks going down. And I  
24 think everybody was kind of almost mandated to have  
25 some sort of noncellular comm. So maybe, Dave,

1           it's in there.

2           DR. EBLER: Right.

3           MS. PINEDA: This is Candace Pineda.

4           Maybe bidirectional communication including  
5 radio, only because radio is a requirement for the  
6 state EMS communication, and it's an -- as  
7 Dr. Ebler said, it's something that most people  
8 have. Remember that this is the absolute minimum  
9 standard for all Florida trauma standards. So if  
10 we don't put it in there, some places won't be able  
11 to do it because it doesn't have this line item.

12          MS. DINOVA: And the EMS communications plan  
13 says radio in it?

14          MS. PINEDA: Yes.

15          MS. DINOVA: Okay. So including radio.

16          MS. PINEDA: There's also a comment in the  
17 chat from Melanie Sinclair about to avoid  
18 (unintelligible), can we use a term like a system  
19 to communicate immediately with the trauma center  
20 or trauma team personnel?

21          MS. DINOVA: I'm sorry. Say that again,  
22 Candace. I mean, is that for this also?

23          MS. PINEDA: Yes. It says -- it says to avoid  
24 (unintelligible), a system to communicate  
25 immediately with trauma team or trauma personnel.



1           That may be down with the pagers, Number 12.

2           MS. DINOVA:   So --

3           MS. SINCLAIR:   This is Melanie Sinclair from  
4           Ascension Sacred Heart in Pensacola.

5           And yes, that was in reference to Number 12,  
6           telephonic paging equipment.  If we could just say  
7           a system to communicate immediately or priority  
8           contact with trauma personnel.  That way, we don't  
9           have to worry if we go to a (unintelligible) or  
10          stick with pagers or (unintelligible) comms or  
11          whatever.  It's just a -- just more general term.

12          MS. HAMILTON:   A system to communicate  
13          immediately --

14          MS. PINEDA:   With the trauma team.

15          MS. HAMILTON:   -- with the trauma team.

16          MS. DINOVA:   And then strike that whole . . .

17          MS. PINEDA:   And this is Candace Pineda again.

18          Going back to the airway thing, if video  
19          laryngoscopy is a gold standard, if we don't put it  
20          in there, then centers won't have the  
21          administrative support to get it when they probably  
22          should, even just one.  So is that something that  
23          should be a minimum requirement?

24          MS. DINOVA:   That's something I have to open  
25          up for comment because that would be a financial

1 impact on the centers, and we have to remember  
2 to -- our 200 per year (unintelligible).

3 DR. ZITO: They can be cheap. They can be  
4 expensive. It depends on the -- and what I'm  
5 concerned about is requiring equipment that may not  
6 right now be in all the centers.

7 I -- Darwin and Mark, would you consider that  
8 to be --

9 Did I do it again? I'm sorry. I'm Tracy Zito  
10 from Orlando.

11 (Off-the-record discussion.)

12 DR. ZITO: Anyway, Darwin and Mark, do you --  
13 do we feel that this is 100 percent standard of  
14 care and should be required by all trauma centers?

15 Well, here's -- here's the thing. Is it -- is  
16 it required to be on any difficult airway cart?

17 DR. MCKENNEY: This is Mark. You know, to me,  
18 it is. And it's not very expensive in terms of a  
19 hospital budget. You know, maybe on the Mark  
20 budget, but the hospital budget, it's kind of a  
21 rounding error. And -- and you're right, if you  
22 don't put in that it's required, there will be a  
23 few hospitals that are -- you know, kind of divide  
24 about that expense and might not have it. I mean,  
25 for a difficult airway, it can be super helpful.

1           So I think the cost is modest and the benefit is  
2           there. So if you require it, everybody will have  
3           it.

4           DR. ANG: This is Darwin Ang.

5           I agree with Mark. You know, I'm thinking  
6           about this from the patient perspective. When you  
7           have a difficult airway, you don't want to be  
8           dealing with instruments that don't give you  
9           optimum visualization to at least try. And, you  
10          know, people will die if they don't -- they don't  
11          get the right equipment. So I do personally think  
12          this is important.

13          DR. ZITO: Yeah. I -- as we're talking about  
14          this and as I started to think about the difficult  
15          airway cart, I thought, it -- it really needs to be  
16          there. I mean, it's pretty much on every ground,  
17          intubating vehicle now. It's on every air. You  
18          know, so I agree.

19          MS. DINOVA: So what we just did here is we  
20          added -- so we've got airway control and  
21          ventilation equipment including various sizes of  
22          laryngoscope and then in parentheses put should  
23          include video laryngoscopy capability.

24          MS. SOWERS: Dea Sowers, St. Joe's Children.

25          I think it should just say including video,

1 not should. Because should leaves open to  
2 interpretation.

3 MS. DINOVA: Okay. As long as everybody's on  
4 board with the financial component.

5 MS. PINEDA: Including various sizes of  
6 laryngoscopes, video laryngoscopy, ET tubes.

7 MS. HAMILTON: Okay.

8 Michael?

9 MR. TAYLOR: Michael Taylor.

10 You have endotracheal tubes. What about the  
11 other tubes that are not being used? Are they --  
12 are they using those on the trauma bay or not?

13 No? Okay.

14 MS. DINOVA: How about we put -- we could add  
15 a line that just says rescue airways and then leave  
16 that open, or difficult airway options. I mean,  
17 'cause if we go down the list of listing all of the  
18 airway things, the list is going to be, you know,  
19 this long.

20 DR. ZITO: What we're doing here is -- it's  
21 honestly basic. It's basic intubation.

22 MS. DINOVA: Yeah. This is the minimum.

23 MR. TAYLOR: Okay.

24 MS. DINOVA: Okay.

25 MS. PINEDA: Candace -- Candace Pineda,

1           Memorial.

2                   MS. DINOVA: I'm going to have to shut this  
3 side of the room down for a minute and ask for  
4 comments from this side of the room.

5                   MS. PINEDA: Moving Florida trauma centers  
6 forward in looking at equipment, do we need to add  
7 pupillometer? Not all centers may have it yet. It  
8 is starting to become the standard of care. It is  
9 \$5,000 even if you just get one. So it's way under  
10 the \$200,000 cost. Throwing it out there.

11                  DR. ZITO: How did you know? This is Tracy  
12 Zito again.

13                  I don't disagree with Candace. I think it has  
14 become standard of care for any trauma center,  
15 especially taking care of traumatic brain injured  
16 adults at least. I'm not sure about children, but  
17 definitely adults.

18                  And so what about children? Does anybody --  
19 can anybody comment on the kids' literature?

20                  But I think it should be required. It -- it  
21 took me an ungodly amount of effort to get it, but  
22 I got it because it's standard of care.

23                  MS. HAMILTON: Would you include the  
24 pupillometer under all areas, just trauma  
25 resuscitation and maybe critical care or the

1 whole -- we've split these up into different --

2 MS. DINOVA: Yeah, different areas of the  
3 hospital.

4 MS. HAMILTON: -- levels of care.

5 DR. ZITO: Definitely the trauma resusc  
6 because that's where the first pupillometer reading  
7 should occur. Definitely critical care. I'm not  
8 sure the operating room necessarily counts.

9 MS. DINOVA: So now we're talking about three  
10 or four or five of them.

11 MS. PINEDA: Not necessarily, if you say you  
12 have one.

13 DR. ZITO: You can have one and move it  
14 around.

15 MS. DINOVA: Just pass it around?

16 DR. ZITO: Yeah.

17 DR. MCKENNEY: This is Mark --

18 MS. HAMILTON: If we put one under each of  
19 these, then you would have to have one each.

20 MS. SOWERS: Dea Sowers, St. Joe's Children.

21 Can you put it under the resuscitation area  
22 and say with capability of using throughout the  
23 hospital, available in the resuscitation area, or  
24 no?

25 MS. DINOVA: I don't think -- I don't think so

1 because of the way it's broken out.

2 DR. ZITO: Do you have any section that's  
3 all-encompassing?

4 MS. HAMILTON: No. Every section is just  
5 redundancy.

6 (Multiple speakers.)

7 MS. PINEDA: In the comment box --

8 MS. HAMILTON: (Unintelligible) add a section  
9 at the end for all hospitals must have a  
10 pupillometer available somewhere.

11 MS. PINEDA: Lianne Brown is suggesting for  
12 the ICU and resusc areas as a minimum.

13 DR. ZITO: That's the area we use them.

14 MS. PINEDA: So two, 10,000 -- still under  
15 \$200,000, and gives each center, including new  
16 centers or anyone the ability to purchase that.

17 MS. HAMILTON: Any comments from this side of  
18 the room or online?

19 DR. MCKENNEY: Hey, this is Mark McKenney.

20 You know, we use it also all the time. Once  
21 you use it, it's hard to go back to not using it.  
22 The expense doesn't seem excessive and certainly  
23 well under the 200,000. And I guess the advantage  
24 is that every center that can have it will show the  
25 administration (unintelligible).

1 MS. DINOVA: All right. Anyone else in the  
2 room? I see a sidebar going on.

3 No? Okay.

4 All right. It's going to get added. All  
5 right. So we're going to add the pupillometer to  
6 the trauma resusc area and then when we get down to  
7 ICU, we'll add it there too?

8 DR. ZITO: Yes.

9 MS. DINOVA: Okay.

10 MS. SOWERS: Well, ER, PICU and adult trama.  
11 Dea Sowers, St. Joe's Children. Sorry.

12 MS. HAMILTON: Okay. So I'm just going to --  
13 you want to keep a list of --

14 MS. DINOVA: Changes?

15 MS. HAMILTON: -- a shopping list here of --

16 MS. DINOVA: Changes?

17 MS. HAMILTON: Financial changes, yeah.

18 Just so that at the very end, we can come back  
19 and say, here's every little 5- and 10,000  
20 increment that we made and see where that brings us  
21 and if maybe we need to reevaluate some of the  
22 additions.

23 MR. TAYLOR: Mike Taylor again.

24 Just a question on the cost. And this would  
25 be for Kate. The -- after -- after you went all



1 through it and (unintelligible) all the 5 and 10  
2 cent stuff all adds up to -- if it's -- if it's up  
3 there, is it possible to implement these over --  
4 over time, not -- not just say, they're in place  
5 today, but implement them over time so that the  
6 cost would be -- that hospitals that are saying  
7 it's too costly could spread the cost out?

8 MS. KOCEVAR: How (unintelligible)?

9 MR. TAYLOR: It's just -- it's just --

10 MS. KOCEVAR: Give me the microphone.

11 All right. So when we create standards,  
12 Michael, how would I then relay portions of  
13 standards in a bit at a time? You know, the  
14 standards have to be equitable across standards set  
15 at equal both new trauma centers coming in and  
16 trauma centers that are currently in play. So  
17 there is no way that I could gradually roll out the  
18 standards --

19 MS. DINOVA: Kate --

20 MS. KOCEVAR: -- to do such a thing.

21 Kate Koccevar, Florida Department of Health,  
22 trauma section administrator.

23 MS. DINOVA: I've lost all control.

24 Okay. Here's my question, though. It's not  
25 really a question, but here's my comment. And,

1 Kate, you can correct me if I'm wrong.

2 MS. KOCEVAR: I'd love to.

3 MS. DINOVA: So once we get this consensus  
4 document, we then will submit it to the Department  
5 of Health. We will then be holding public -- not  
6 hearings -- public --

7 UNIDENTIFIED SPEAKER: Workshops?

8 MS. DINOVA: -- workshops -- workshops to  
9 where we would have that. So any of these  
10 changes -- and then we'll have to set a time frame  
11 for these to be implemented. So it's probably  
12 going to be a year or two lag, even from the time  
13 we get the consensus document and hand it over to  
14 the DOH. So the trauma centers will have a couple  
15 of years. And then if they've already just had a  
16 survey, they'll have until their next survey cycle.  
17 So they should have some lag time built in to be  
18 able to buy one pupillometer this year and one next  
19 year before their -- before the standard is used  
20 for the survey. So just like the -- the Gray Book  
21 came out in December of 2022, they're just now  
22 starting to use it for surveys. It will be the  
23 same thing for this document. Even when it gets  
24 put through the process, there's going to be a lag  
25 time for implementation.

1           So those centers should have time to be able  
2           to sort it out. But we still need to be cognizant  
3           of not going over that 200,000 for that per year of  
4           continual cost also.

5           MR. TAYLOR: And that's -- that's what I was  
6           asking about, talking about, exactly -- exactly  
7           what I was asking about.

8           MS. DINOVA: Okay. Perfect.

9           MS. KOCEVAR: Yeah. I think the other thing  
10          that we have to consider is, is while we're talking  
11          about this, we have no idea that two years from now  
12          that there might be a new piece of equipment out.  
13          So medicine moves at the speed of sound. But yes,  
14          I think for now we would address it that way, Lisa.  
15          Thank you.

16          MS. DINOVA: Okay. Thank you.

17          All right. Any further comment?

18          MS. PINEDA: Question in the chat that kind of  
19          addresses it. So is the pupillometer an ACS  
20          standard or what if there is similar devices?

21          So pupillometer is a generic term for a device  
22          that measures pupils. We don't have to call it  
23          pupillometer. We can say a device that objectively  
24          measures --

25          UNIDENTIFIED SPEAKER: Pupillometry.

1 MS. PINEDA: -- pupil response.

2 Pupillometry? Pupillometry metrics.

3 MS. DINOVA: Pupillometry equipment?

4 DR. MCKENNEY: Yeah. The generic term might  
5 be a pupillometric.

6 MS. PINEDA: Device to measure pupils  
7 objectively.

8 DR. MCKENNEY: There we go.

9 MS. DINOVA: All right. So does pupillometry  
10 equipment -- is that generic enough across the  
11 board?

12 MS. PINEDA: Yes.

13 MS. DINOVA: Okay.

14 MS. HAMILTON: Any other comments about the  
15 trauma resusc area?

16 MS. DINOVA: Okay.

17 MS. HAMILTON: All right.

18 MS. DINOVA: Moving on to the OR.

19 MS. HAMILTON: In the OR, we will have  
20 cerebral monitoring equipment. Again, the airway  
21 control and ventilation equipment. I will just go  
22 ahead and assume that we want to update that to  
23 reflect the video laryngoscopes. Anesthesia  
24 monitoring equipment. Cardiopulmonary bypass  
25 equipment must be immediately available in all

1 trauma centers, or a contingency plan must exist to  
2 provide emergency cardiac surgical care. Must be a  
3 cardiopulmonary resuscitation cart, including  
4 emergency drugs and equipment, craniotomy, burr  
5 hole and intracranial monitoring capabilities,  
6 endoscopes, invasive hemodynamic monitoring and  
7 monitoring equipment for blood pressure, pulse and  
8 ECG, operating microscope, orthopedic equipment for  
9 fixation of pelvic, long bone and spinal fractures  
10 and fracture table, pacing capability, standard  
11 devices and fluids for IV administration, thermal  
12 control devices for patients, IV fluids and  
13 environment and X-ray capability.

14 Open that up to council.

15 MS. DINOVA: Dr. McKenney, Dr. Ang, any  
16 comment on our OR shopping list?

17 DR. MCKENNEY: No. Looks good to me.  
18 That's Mark.

19 MS. DINOVA: Okay. We're going to pull down  
20 that video laryngoscopy down into there as well.

21 DR. ANG: Can I read that one more time? Just  
22 pull it down. I'll look at it.

23 MS. DINOVA: Okay.

24 MS. PINEDA: While that's being looked at,  
25 there's a question in the chat from Susie Mitchell.

1           Susie, you can take yourself off mute and  
2           share away.

3           MS. MITCHELL: Sorry. I -- can you hear me  
4           now? I'm sorry. I'm having trouble with my audio.

5           MS. KOCEVAR: We can hear you.

6           MS. MITCHELL: Okay. That was me with the  
7           pupillometer. I just wasn't sure -- that was my  
8           question with the standard and you changed it. So  
9           thank you.

10          MS. DINOVA: Dr. Ang, is this the section that  
11          you wanted to see again here?

12          DR. ANG: Yes. Can you scroll down just a  
13          little bit to the next page.

14          MS. DINOVA: Oh, my goodness.

15          MS. HAMILTON: That is not me, y'all.

16          DR. ANG: So does X-ray capability include  
17          fluoroscopy?

18          MS. DINOVA: No. The -- I think what's listed  
19          is the -- the minimum of -- of X-ray capability in  
20          the OR. Just plain, I think.

21          DR. ANG: Oh, okay. That's probably okay.

22          MS. DINOVA: Anything, Dr. McKenney?

23          I think he already said --

24          DR. MCKENNEY: Yeah. This is Mark.

25          Nothing else from me. Thanks.

1 MS. DINOVA: Okay.

2 MS. HAMILTON: Thank you.

3 MS. DINOVA: And now from the room.

4 Dr. Zito?

5 DR. ZITO: Tracy Zito, Orlando.

6 Do we have rapid infusers on here? Because it  
7 says standard equipment for IV fluid  
8 administration.

9 MS. DINOVA: I don't believe we have that in  
10 either section, do we?

11 MS. HAMILTON: You know, that is --

12 DR. ZITO: I'm wondering if we have it in the  
13 resuscitation section. I know you have external  
14 devices for IV fluids in here. But do you have --

15 MS. HAMILTON: Now that we say that, it's  
16 weird that it's not there.

17 DR. ZITO: Yeah. Rapid infusers probably  
18 should be in all three areas for (unintelligible)  
19 administration, et cetera. What do you guys think?

20 DR. SMITH: Yeah, if -- if pupillometry is  
21 considered standard of care -- and I'm not sure it  
22 is, but I'm not opposed to it -- certainly rapid  
23 transfusion --

24 Steve Smith, Gainesville.

25 I will -- I will not repeat my disarranging

1           comments about pupillometry. If -- rapid  
2           transfusion devices are standard of care. There's  
3           no question about it, in the trauma bay and in the  
4           operating room and in the ICU. There's no question  
5           that they are standard of care.

6           DR. ZITO: In all areas.

7           MS. HAMILTON: Okay. Is that okay, the way I  
8           worded that, equipment for rapid infusion of blood  
9           and blood products?

10          DR. ZITO: Yes.

11          MS. DINOVA: Ma'am?

12          MS. SWEENEY: Jennifer Sweeny (phonetic),  
13          Sarasota.

14          We -- the peanut gallery and I here are  
15          sitting here thinking that it is definitely in the  
16          standards somewhere, just we can't remember where.

17          MS. DINOVA: I think this peanut gallery is  
18          having the same --

19          MS. SWEENEY: Oh, Tabitha is saying it's in a  
20          box somewhere.

21          TABITHA: It's a box in the beginning of  
22          the -- of what you guys went over in the beginning.

23          DR. ZITO: You can control F probably,  
24          but . . .

25          MS. DINOVA: Yeah, but it -- that might be



1           scary in this document.

2           MS. SWEENEY:   Maybe by rapid?

3           DR. MCKENNEY:   I tried rapid.   I couldn't find  
4           it.   There's a few rapids, but not with the word  
5           infuser in front.

6           MS. DINOVA:   I think it was the rapid  
7           resuscitation.   We're going to add it.

8           MS. HAMILTON:   It is added.

9           MS. DINOVA:   And we're going to add it to OR  
10          and we'll add it to ICU.

11          MS. HAMILTON:   So is everybody okay if we move  
12          on to PACU?

13          Michael?

14          MR. TAYLOR:   Cardiac pacing.   You say pacing  
15          capability -- capability.   You should say cardiac  
16          pacing.   That's . . .

17          MS. HAMILTON:   Okay.

18          So the addition cardiac.   Anyone opposed to  
19          that change?

20          All right.   Thank you.

21          All right.   In PACU we will -- I'm going to --  
22          oh, my goodness -- paste the airway changes that  
23          we've already mentioned.   There should also be,  
24          again, that cardiopulmonary resuscitation part with  
25          all the drugs and equipment.   Intracranial pressure

1 monitoring, invasive hemodynamic monitoring and  
2 monitoring equipment for blood pressure, pacing  
3 capability.

4 Cardiac. Let's just do it.

5 Pulse oximetry, standard devices and fluids  
6 for IV administration, sterile surgical sets for  
7 airway and chest, thermal control devices for  
8 patients, and IV fluids.

9 MS. DINOVA: And we'll add the rapid  
10 resuscitation -- or the rapid infusers to this  
11 section as well.

12 MS. HAMILTON: You need those in PACU?

13 MS. DINOVA: Oh, no, not in PACU. I'm sorry.

14 MS. HAMILTON: Why do we have the airway and  
15 chest sets in PACU? Is that . . .

16 DR. ZITO: Because of the emergencies that can  
17 happen in a PACU, yeah.

18 MS. HAMILTON: Okay. Thank you. I just  
19 wanted to make sure I didn't jumble something  
20 somewhere.

21 Any other comments, requested changes to the  
22 PACU section?

23 MS. DINOVA: Starting with Dr. McKenney and  
24 Dr. Ang.

25 DR. MCKENNEY: Nothing else from me.

1           This is Mark.

2           DR. ANG: I'm good as well. Thank you.

3           MS. DINOVA: Thank you.

4           All right. Open up comments to the room.

5           Wow. All right.

6           Any comments online?

7           All right. We're going to move on to ICU and

8           PICU then. And we're going to add --

9           MS. HAMILTON: Pupillometry.

10          MS. DINOVA: We'll change the airway language.

11          MS. HAMILTON: Change airway.

12          MS. DINOVA: And add the rapid infuser. This  
13          is where I want the rapid infuser added.

14          MS. HAMILTON: So in addition to the  
15          pupillometry equipment, there will be cerebral  
16          monitoring equipment, again, pulmonary  
17          resuscitation cart with meds and equipment,  
18          compartment pressure monitoring devices, invasive  
19          hemodynamic monitoring, orthopedic equipment for  
20          the management of pelvic, lung, bone and spinal  
21          fractures, cardiac pacing capabilities, pulse  
22          oximetry scales, standard devices and fluids for IV  
23          administration, sterile surgical sets for airway  
24          and chest, and thermal control devices for  
25          patients, IV fluids and environment.

1 MS. DINOVA: Any comments from the council,  
2 Dr. McKenney, Dr. Ang?

3 DR. MCKENNEY: Nothing from Mark.

4 DR. ANG: I think this is appropriate for post  
5 anesthesia recovery.

6 MS. DINOVA: This is -- this is ICU now.

7 DR. ANG: This is ICU?

8 MS. DINOVA: Yes, sir. ICU and PICU.

9 DR. ANG: Okay. Then ICU should have the  
10 massive transfusion. Oh, there you go.

11 MS. DINOVA: Anything else? Anything else,  
12 Dr. Ang?

13 DR. ANG: I don't see anything else.

14 MS. DINOVA: Thank you.

15 Okay. To the room.

16 Oh, sorry. Go ahead.

17 DR. ANG: No, I'm good.

18 MS. DINOVA: Okay. Now, open to the room.

19 MR. TAYLOR: Am I not seeing it or is it not  
20 there, like, we have pulse oximetry, we have  
21 scales, we have invasive monitoring, but I don't  
22 see anything that's standard cardiac  
23 (unintelligible) monitors, standard noninvasive  
24 blood pressure cuff. Is that -- am I just not  
25 seeing where it is or does that matter that -- that

1           it's there, it's just -- just assumed to be there?  
2           Do we need it? Do we not need it? I just don't --  
3           I'm just not seeing it.

4           MS. PINEDA: I think that's standard for ICU.  
5           This is above and . . . It says monitoring for  
6           blood pressure.

7           MS. HAMILTON: Is it in the resuscitation --  
8           there's -- oh, it's invasive and monitoring.

9           MS. DINOVA: We can just copy that and pull it  
10          down there.

11          MR. TAYLOR: Okay. I missed it. I'm sorry.

12          MS. DINOVA: No, we're going to add it down  
13          there. I think it kind of fell out when we were  
14          copying and pasting.

15          MS. HAMILTON: Okay.

16          MS. DINOVA: Oh, this says invasive, so it's  
17          got both.

18          MS. HAMILTON: The discussion may have been do  
19          we need to have that and that's okay.

20          MS. DINOVA: Okay. Any other comments in the  
21          room?

22          All right. Any commentary online?

23          All right. We're going to keep moving then  
24          because I think I'm losing my audience.

25          MS. HAMILTON: Med surg. Ready?

1 MS. DINOVA: Yeah.

2 MS. HAMILTON: Yeah. I'll change the airway  
3 control equipment. Cardiopulmonary resuscitation  
4 cart with drugs and equipment, standard devices and  
5 fluids for IV administration and suction devices.  
6 Council?

7 MS. DINOVA: Dr. McKenney, Dr. Ang?

8 DR. MCKENNEY: Nothing from Mark.

9 DR. ANG: We've had equipment for placing  
10 chest tubes and things as part of carts on floors  
11 routinely at places I've been to. And is that  
12 already imbedded in our standards or is that just  
13 unique to the places that I've been to?

14 MS. DINOVA: It is not currently in our -- in  
15 our Florida standards nor the ACS standards.

16 DR. ANG: I see.

17 MS. R. HAMILTON: Rachel Hamilton, Halifax  
18 Health.

19 Do we need video laryngoscope for a med surg  
20 unit?

21 MS. DINOVA: I was going to ask that. I was  
22 going to pose the same question. Do we need to  
23 have the video laryngoscopes on the floors or just  
24 in the resusc bay, OR and ICU?

25 So we can take the video laryngoscopes away

1 from the med surg floors? Because if they get into  
2 trouble, they're going to call anesthesia anyway.

3 DR. ANG: Yeah, I would say that's reasonable.

4 DR. MCKENNEY: And just be cognizant of the  
5 cost because we're going to start getting up, you  
6 know, (unintelligible) up to your maximum price

7 MS. DINOVA: Yes, sir. Thank you.

8 All right. Fantastic. Any commentary on the  
9 phone?

10 MS. HAMILTON: Yes.

11 MS. R. HAMILTON: Rachel Hamilton, Halifax.

12 For mechanical ventilation, it needs to be  
13 accessible mechanical ventilation, because then  
14 you're asking for critical care level -- critical  
15 care level nursing for that floor, so access to  
16 mechanical ventilation.

17 MS. DINOVA: Oh, I see what you're saying.

18 MS. R. HAMILTON: Medical surgical floors  
19 don't have (unintelligible). I don't think they  
20 have access to that.

21 MS. DINOVA: That's what she's saying, because  
22 now we're out on the med surg floor, instead of  
23 saying that they have to have a mechanical  
24 ventilator, have access to a mechanical ventilator.

25 MS. R. HAMILTON: Or access to an increased

1 level of care that could offer X, Y and Z.

2 MS. DOUGLAS: Alisha from Lakeland Regional.

3 Douglas, sorry. Yes, peanut gallery.

4 The thing is, what did the standard say  
5 before, because to that point, we don't need all  
6 this in the med surg unit, because they're going to  
7 be coming to the unit. Like, you're not going to  
8 have -- you're not going to have this patient on  
9 the ventilator in the med surg unit. You're taking  
10 them down to the ICU or you're taking them to  
11 wherever they're going.

12 MS. HAMILTON: This is the original, airway  
13 control and ventilation equipment, including  
14 laryngoscopes, endotracheal tubes of all sizes, bag  
15 mass resuscitator and sources of oxygen.

16 MS. R. HAMILTON: You think 6 can go, 7 stay?  
17 Because 6 is inclusive of 7, right?

18 MS. DOUGLAS: Yeah.

19 MS. HAMILTON: Let me look down here real  
20 quick to make sure.

21 Yeah, so that's -- that's what it said before.

22 MS. DINOVA: Yeah, so what's in blue there,  
23 that was the original language.

24 MS. HAMILTON: So we can just keep that.

25 MS. DINOVA: You want to keep that language



1           for the med surg and not change it?

2           I hear groans.

3           MS. SOWERS: I think you keep 7 and get rid of  
4           6. Because the med surg unit --

5           MS. R. HAMILTON: Has a crash cart, has a code  
6           cart, and they don't -- and they have an airway  
7           cart that is in there. So either combine them  
8           or . . .

9           MS. DINOVA: Okay.

10          MS. R. HAMILTON: -- or . . .

11          MS. SOWERS: They don't really fit in here,  
12          but they need a cardiopulmonary resuscitation cart  
13          and then they need a plan to get their patient to a  
14          higher level of care, but that's just talking about  
15          equipment.

16          MS. HAMILTON: So Number 6, the way I read  
17          that, that is just having a BBM and and some stuff  
18          to tube them before you get them out. It  
19          doesn't --

20          MS. SOWERS: That's a code cart.

21          (Multiple speakers.)

22          MS. R. HAMILTON: 6 and 7 are kind of saying  
23          the same thing.

24          MS. DINOVA: I think perhaps keeping in mind  
25          that these standards originally -- originated in

1           1990, so maybe they weren't on the code cart. We  
2           could -- so is the suggestion -- the suggestion in  
3           the room right now is to strike 6 and just leave 7,  
4           assuming the cardiopulmonary -- because it says  
5           cardiopulmonary resuscitation. It's covered there.

6           Okay. To the council, Dr. McKenney, Dr. Ang,  
7           would you support scratching 6 and keeping 7?

8           DR. ANG: I would.

9           This is Darwin.

10          MS. DINOVA: Dr. McKenney?

11          DR. MCKENNEY: Sorry, I forgot the hit the  
12          mute -- unmute button.

13          Yes, I agree with Darwin.

14          This is Mark.

15          MS. DINOVA: Okay.

16          MS. HAMILTON: Okay. So this is acceptable to  
17          all?

18          MS. DINOVA: Anybody else in the room?

19          Anyone online?

20          All right.

21          MS. HAMILTON: Awesome. That is the end of  
22          that one.

23          MS. DINOVA: Two standards down, my friends.

24          MS. HAMILTON: And of note, there was no auto  
25          transfuser, guys.

1           Okay. Standard 10, laboratory services.  
2           Starts off, service capabilities. The trauma  
3           center shall have the following laboratory  
4           capabilities for adult and pediatric injured  
5           patients available in hospital 24 hours per day.  
6           And that is including services for the prompt  
7           analysis of blood, urine and other body fluids,  
8           blood gases and pH determination within 5 minutes  
9           90 percent of the time, coagulation studies, drug  
10          and alcohol screening, microbiology, serum and  
11          urine osmolality. And all trauma centers must have  
12          a sufficient supply of blood products readily  
13          available with an appropriately staffed blood bank.  
14          The blood bank shall, at a minimum, be capable of  
15          providing the following. And that's blood typing,  
16          screening and cross matching, platelets and fresh  
17          frozen plasma.

18                 Let's -- is that it, actually?

19                 Hang on. Let me just finish it out and then  
20          we'll get going.

21                 The trauma center shall have written protocols  
22          available ensuring that injured patients receive  
23          priority over routine laboratory tests. And for  
24          staffing requirements, a laboratory technician  
25          shall be available in hospital 24 hours per day to

1           conduct laboratory studies for injured patients.

2           And I'm going to open this up to council.

3           MS. DINOVA: So -- and I have to look at  
4           the -- the Gray Book, because we took this -- is  
5           this our current Florida standard or was this a  
6           Gray Book edition?

7           MS. HAMILTON: Yeah, so if we look down here,  
8           most of this was already in play. We did remove  
9           the 10 units of Type O blood.

10          MS. DINOVA: Okay. Should -- my question  
11          would be then -- scroll back up. My question would  
12          be then, since we did list out platelets and FFP,  
13          should we also include PRBT? Because this is,  
14          again, at a minimum. Since we took out the 10  
15          units rule during the discussion in the comments  
16          hours. I mean, if you're going to list two you  
17          might as well list the third one.

18          DR. ANG: This is Darwin.

19          I agree. We need to be very clear on what  
20          they have.

21          DR. MCKENNEY: Yeah. Mark.

22          No problem with that at all.

23          MS. DINOVA: Okay. Because it's -- it's if we  
24          have beyond that, then it's okay. Like, those of  
25          us that are carrying whole blood or whatever,

1           that's fine and dandy. This is a minimum. But if  
2           we're going to list two, we need to list all three  
3           components.

4           Okay. Dr. McKenney, Dr. Ang, any other  
5           comments on this section?

6           DR. ANG: You can also include cryo. I mean,  
7           we use that as well.

8           MS. DINOVA: Okay.

9           DR. ANG: Cryoprecipitate.

10          MS. DINOVA: Okay.

11          Okay. Any comment in the room?

12          Oh, yeah, I see all kinds of hands.

13          DR. ZITO: This is Tracy Zito.

14          I have a comment.

15          MS. DINOVA: Yes, ma'am.

16          DR. ZITO: And I'll ask Mark and Darwin what  
17          they think, but I think it's pretty standard of  
18          care anymore with any massive transfusion protocol,  
19          which every trauma center should have, to have some  
20          sort of viscoelastics (unintelligible) assay, like  
21          TEG or ROTEM. I'm pretty sure we talked about  
22          this, right? Didn't we talk about this when we  
23          talked about the standard?

24          MS. HAMILTON: We did talk about it and the  
25          financial --

1 DR. ZITO: What did we decide, the financial  
2 burden of the machine?

3 MS. HAMILTON: Right. That although it is  
4 listed in the best practice guidelines and that it  
5 is highly encouraged, it's also --

6 DR. ZITO: Yeah, just -- just forget it. I  
7 know we had this whole discussion, but it's -- it's  
8 in here with me, you know, so . . .

9 MS. SOWERS: Dea Sowers, St. Joe's Children.  
10 Sorry. Just for, like, the ease of the  
11 document, Number 2 says all trauma centers must  
12 have sufficient blood products readily available  
13 and then it kind of tells you what you need to have  
14 below. Can we just make that all one thing, just  
15 for ease of access for people --

16 MS. DINOVA: Include readily available,  
17 including, that. Okay.

18 It's not a minimum.

19 Okay. Michael?

20 MR. TAYLOR: From a regulatory perspective, a  
21 sufficient supply of brood product, how is that  
22 going to be -- when you go to do a survey, how are  
23 you going to look at that? Are you going to look  
24 to say, in the last year we've had a sufficient  
25 supply of blood for every mass transfusion

1 protocol? How are we going to do that from  
2 (unintelligible)?

3 DR. ZITO: I can answer that for you. So  
4 that -- it's Tracy Zito.

5 The way they can handle that is just by the PR  
6 review of your MPT administration and the morbidity  
7 and those sorts of things that go along with that.  
8 If they're activating an MTP and they're  
9 consistently running out of blood products, they do  
10 not have an adequate supply of blood products. But  
11 to say that every center needs to have an X number  
12 of, that can lead to a lot of wastage.

13 A place like Orlando Regional that uses MTPs  
14 countless times per week is going to be very  
15 different than Lake Monroe that maybe uses it once  
16 per week. You see what I mean?

17 MR. TAYLOR: Yep.

18 DR. ZITO: So I think -- I don't think there's  
19 a minimum we can require. And I think that's how  
20 we ended up with that language.

21 Do you guys agree with that?

22 MS. DINOVA: Yes.

23 MS. HAMILTON: Yes.

24 MR. TAYLOR: So should that -- should that  
25 sufficient be expanded a little more to explain

1           what you just explained, very briefly explained,  
2           that --

3           UNIDENTIFIED SPEAKER:   No.

4           MR. TAYLOR:   No?   You want to leave it at --  
5           just want to --

6           DR. ZITO:   Oh, I think it says what it says.

7           MR. TAYLOR:   -- leave it as sufficient.   Okay.

8           MS. DINOVA:   Okay.   Any more comments in the  
9           room?

10          All right.   How about online?

11          Is anybody still awake online?

12          MS. MAGDA:   Yes, this is Magda from Jax South.

13          I was going to ask the same question.   Like,  
14          my definition for sufficient and your definition  
15          for sufficient are two different things -- may be  
16          two different things.   So I'm a little wishy-washy  
17          about that sufficient word.

18          MS. DINOVA:   I have a suggestion.   So what if  
19          at the end of the sentence there we put as  
20          monitored by the PI process?

21          MS. MAGDA:   Perfect.

22          MS. DINOVA:   All trama centers must have a  
23          sufficient supply of blood products readily  
24          available, including platelets, FFT, PRBCs, cryo as  
25          monitored by the PI process.



1 MS. MAGDA: That's a little better. Yeah,  
2 yeah.

3 MS. DINOVA: Okay.

4 MS. MAGDA: Thank you.

5 MS. DINOVA: Okay. Anyone else online or in  
6 the room?

7 Fantastic. Moving on.

8 MS. HAMILTON: Moving on.

9 MS. DINOVA: Was that a whole 'nother standard  
10 down?

11 MS. HAMILTON: That's a whole 'nother one.

12 And look at this one, guys. This one is one  
13 line. So let's --

14 MS. DINOVA: That terrifies me.

15 DR. ZITO: Let's see how long we can talk  
16 about this.

17 MS. HAMILTON: Level 1, 2 and pediatric trauma  
18 centers must have renal replacement therapies and  
19 services available for the support of injured  
20 trauma patients with renal failure 24 hours a day.

21 Council?

22 MS. DINOVA: I'm okay with that.

23 Dr. McKenney? Dr. Ang?

24 DR. ANG: I agree.

25 This is Darwin.

1 DR. MCKENNEY: (Unintelligible).

2 MS. DINOVA: All right. Commentary in the  
3 room?

4 Thumbs ups and head shakes.

5 Any comments online?

6 A standard done in 30 seconds. All right.

7 MS. DINOVA: Oh.

8 MS. HAMILTON: Oh, Michael.

9 MR. TAYLOR: Is that available in house or  
10 anybody that does it available outside?

11 MS. DINOVA: It just has to be available 24  
12 hours.

13 DR. ZITO: No, it has to be -- you have to be  
14 able to implement it in the hospital 24/7.

15 MR. TAYLOR: In house. Okay.

16 MS. DINOVA: However that is.

17 MR. TAYLOR: I was just thinking the type of  
18 disasters and the things we get in Florida here  
19 that are unique to Florida, is -- is that going to  
20 inhibit folks getting out of your trauma center to  
21 the dialysis center and back.

22 (Multiple speakers.)

23 MS. DINOVA: Yeah, this is acute hemodialysis,  
24 not chronic hemodialysis.

25 MS. SOWERS: Just a reminder we all have to

1 talk at one -- one at a time.

2 MS. DINOVA: I'm sorry. I apologize for the  
3 lack of formality and the fact that I've lost total  
4 control.

5 DR. ZITO: Just for the record, this is for  
6 acute intensive care unit management of the renal  
7 failure patient.

8 I'm Tracy Zito from Orlando.

9 MS. DINOVA: All right. Are we good with  
10 hemodialysis now?

11 Moving on.

12 MS. HAMILTON: Moving on. We are now  
13 radiology. All trauma centers must have the  
14 following services available around the clock and  
15 accessible for patient care within the time --  
16 within the specified time frames. Note that the  
17 time frame refers to the duration between the  
18 initial request and the commencement of the test  
19 procedure, not necessarily its completion. So for  
20 conventional radiology, it's within 15 minutes.  
21 For CT, within 15 minutes. Point of care  
22 ultrasound, 15 minutes. Interventional radiology  
23 is within one hour. And MRI within two hours.

24 And let's stop there for a sec.

25 And, council, any comments?

1 MS. DINOVA: Dr. McKenney? Dr. Ang?

2 DR. ANG: So the inter- -- this is Darwin.

3 So the interventional radiology procedures  
4 within one hour, how is that defined? From consult  
5 to treatment or intervention?

6 MS. HAMILTON: It's from the initial request  
7 to the commencement of the procedure.

8 DR. ZITO: I can -- I -- Darwin, I think -- is  
9 Steve Smith still here? Yeah, still here. So if  
10 I'm not mistaken, I think the Gray Book, that one  
11 hour is from time of consult to time of radiology  
12 arrival, correct? The radiologist's arrival?

13 MS. HAMILTON: Puncture time.

14 DR. SMITH: Puncture time.

15 DR. ANG: I think it's from the procedure,  
16 yeah.

17 DR. ZITO: Commencement of procedure.

18 DR. SMITH: Consult to catheter in the artery.

19 DR. ZITO: Okay.

20 MS. DINOVA: Okay. So that's the commencement  
21 of the procedure part.

22 DR. ZITO: Yeah. I think that wording works.

23 DR. ANG: Okay.

24 MS. DINOVA: Dr. Ang, any further comment, or  
25 Dr. McKenney?

1 DR. ANG: No.

2 DR. MCKENNEY: No, not from Mark.

3 MS. DINOVA: Okay. Commentary in the room?

4 And anybody online?

5 All right. Moving to Section B.

6 MS. HAMILTON: All trauma centers are required  
7 to establish a system for remotely accessing  
8 radiographic images from referring hospitals within  
9 their catchment area. These access methods may  
10 encompass options such as e-mail, a phone mobile  
11 application, a PAC system, and various other  
12 suitable means.

13 In all trauma centers, the final  
14 interpretation of CT scans must be documented no  
15 later than 12 hours after the CT scan's completion.

16 And under service capabilities, the following  
17 radiological service capabilities for trauma alert  
18 patients must be available 24 hours a day in all  
19 trauma centers. A radiologist must have access to  
20 patient images and be available for imaging  
21 interpretation, either in person or by phone,  
22 within 30 minutes of request. And geography of all  
23 types with a maximum response time until the start  
24 of procedure of 60 minutes CT and routine  
25 radiological studies.

1           And we will stop there for a moment.

2           Council?

3           MS. DINOVA:   Dr. McKenney?   Dr. Ang?

4           DR. ANG:   This is Darwin.   This is Darwin.

5           I'm fine with the language as written.

6           DR. MCKENNEY:   This is Mark.

7           It seems very reasonable.

8           MS. DINOVA:   Thank you.

9           Comments in the room?

10          Yes, Candace.

11          MS. PINEDA:   There's a comment in the chat  
12          from Dr. Diaz.   The time limit for the IR will have  
13          an impact on certain centers.   Will require  
14          additional manpower to staff.   Will need to  
15          consider time to implementation.

16          DR. ZITO:   Just in response to that, I think  
17          the prior requirement was 30 minutes, wasn't it?

18          MS. KOCEVAR:   I thought it was 60.

19          DR. ZITO:   The consult.

20          MS. HAMILTON:   Just going to get down to --

21          DR. ZITO:   No, I'm talking about the previous  
22          Florida standard.

23          MS. HAMILTON:   The previous standard here is  
24          angiography with a maximum response time until the  
25          start of procedure of 60 minutes.   So it's the

1 same.

2 DR. ZITO: It's the same as it was before  
3 then. Okay. I thought it even said 30 minutes  
4 before. So I don't think it will add any  
5 different . . .

6 MS. DINOVA: Okay. Any other comments?

7 All right. Moving forward.

8 MS. HAMILTON: For staffing requirements,  
9 radiological personnel required to deliver  
10 radiological services for trauma alert patients  
11 must be accessible around the clock. At the very  
12 least this should encompass the following: Human  
13 and physical resources must be continuously  
14 available so that an endovascular or interventional  
15 radiology procedure for hemorrhage control can  
16 commence within 60 minutes of request. Radiologist  
17 must be board certified or actively engaged in the  
18 certification process with a timeline established  
19 by each faculty board and must be promptly  
20 available 24 hours per day. Chief radiology  
21 residents may fulfill this requirement if the  
22 trauma medical director ensures the following: A  
23 staff radiologist on call and available to arrive  
24 promptly at the trauma center when called. The  
25 trauma medical director and chief of radiology

1 provide written attestation that each participating  
2 resident is capable of the following: Authorizing  
3 any radiological studies required for trauma alert  
4 patients, conducting appropriate evaluation of  
5 radiological studies for trauma alert patients.

6 I'm going to stop there because I'm not going  
7 to -- okay.

8 MS. DINOVA: Okay. So we're looking at  
9 Section E now. Dr. McKenney? Dr. Ang?

10 DR. MCKENNEY: Yeah, I like it. No issues for  
11 Mark McKenney.

12 DR. ANG: This is Darwin.

13 I don't have any issues either

14 MS. DINOVA: Okay. Any comment in the room?

15 All right. Anyone online?

16 MS. DINOVA: All right, guys. We're getting  
17 there.

18 Oh, hold on. I think Candace has a comment.

19 MS. PINEDA: There's just a comment of who  
20 will be held responsible for monitoring compliance  
21 with all the benchmarks without language to require  
22 specific resources rather than recommended  
23 resources?

24 MS. HAMILTON: Where are you referencing?

25 MS. PINEDA: Scroll up a little bit. I think



1           it's the CT within 12 hours or some of the other  
2           radiology.

3           MS. HAMILTON: The times, I will say, are  
4           (unintelligible).

5           MS. DINOVA: Can you ask whoever it was to  
6           help.

7           MS. PINEDA: Lianne, are you able to chime in  
8           and comment?

9           MS. DINOVA: Who was it, Candace?

10          MS. PINEDA: She said radiology within 15  
11          minutes.

12          MS. HAMILTON: That is in x-ray.

13          MS. DINOVA: In Section A or in a different  
14          section?

15          Candace, who was it online?

16          MS. PINEDA: It's Lianne.

17          Yeah, so it is about Section A. I guess she's  
18          wondering how this can be monitored or reported.

19          MS. DINOVA: That would be within the PI.

20          MS. PINEDA: I can comment. I've been looking  
21          at this with my radiology department. And if you  
22          have an electronic medical record, they should be  
23          able to pull time to order time stamp until the  
24          time of the start of the procedure, so they can  
25          give you that.

1           I can tell you one challenge for my center is  
2           that we prealert patients, we automatically order  
3           imaging prior to the patient's arrival. So our  
4           times are actually longer than actually happen  
5           because we don't order right before we send the  
6           patient. So there's a little bit of skewed data  
7           there, but it -- if everybody has an electronic  
8           medical record, someone should be able to pull that  
9           and maybe report it at your operations meeting.

10           MS. DINOVA: So does that answer it for her?  
11           I know she's texting or she's messaging.

12           MS. PINEDA: Does anyone else have a concern  
13           or just question on how to operationalize that?

14           MS. DINOVA: Lianne, did that -- did that  
15           answer your question or do you think -- do you have  
16           language that you think we should add?

17           MS. BROWN: Hey, this is Lianne Brown, Sacred  
18           Heart in Pensacola.

19           I just -- I just have a concern with the more  
20           quality measures that we add -- it's not just  
21           these, I know that there's going to be more. But  
22           the more quality measures that we put in place that  
23           will have to be trended and tracked, just adding to  
24           the workload when we have not got language in place  
25           to ensure trauma program support, such as PI

1 coordinators per total number of patients that are  
2 put in, obviously (unintelligible) from a registry  
3 perspective. I know that it's a known factor, but  
4 without having specific language for resources, it  
5 becomes more taxing and difficult for an already  
6 existing staff.

7 MS. DINOVA: So to recap, the way that the  
8 current Florida standard is written, it just says  
9 that we have to have these capabilities. It  
10 doesn't have time frames allotted to them. The  
11 time frames came from the Gray Book and the  
12 conversation that we had on the comments hours.

13 So I'm open to -- to comments from everyone.

14 MS. PINEDA: This is Candace.

15 MS. DINOVA: It gave up on you.

16 MS. PINEDA: This is Candace Pineda from  
17 Memorial Regional.

18 Did we address -- 'cause if we're trying to  
19 add or make standards similar to national, national  
20 does have a requirement of PI per number of  
21 patients as well as registry per number of  
22 patients. Did we try to incorporate that in other  
23 standards? That would help address the comment.  
24 Anybody? Did we get that far yet?

25 MS. HAMILTON: So we did discuss PI staffing.

1           It's still open. However, we thought that the  
2           financial burden was going to definitely outweigh  
3           that 200,000.

4           DR. ANG: This is Darwin Ang, Ocala.

5           I mean, I agree. I think we do need to look  
6           at a PI nurses-to-patients admitted to trauma  
7           center ratios as in the Gray Book. These are a lot  
8           of metrics. Our trauma centers need support to be  
9           able to get these metrics done. And so I'm in  
10          support of coming up with at least a minimum ratio  
11          of PI to patients.

12          MS. BERGER: This is Amy from UF Health  
13          Shands.

14          I don't need the microphone.

15          I'm going to let Dr. Smith just confirm what  
16          I'm going to say before he leaves. Doesn't the  
17          ratio say for registrars it's .5 for every  
18          two-fifths to 300, whereas the PI nurse says one PI  
19          over 1,000 patients? So it really -- I don't think  
20          it's going to impact -- and I don't know other  
21          centers -- as much as maybe we think it was because  
22          I think maybe the initial understanding was it was  
23          the same as the registrars.

24          MS. DINOVA: So I think that's something that  
25          we'll have to look at when we -- the PI section

1           is -- as those of you that have been on the calls  
2           know, that's one that we've been hashing out for a  
3           little while and we're going to have to come back  
4           to it. I do think that we could leave this in for  
5           now, but as we keep our running list of dollar  
6           signs that we're creating, we may have to come back  
7           and reassess that. So maybe we can add on our  
8           dollar signs list the PI staffing and then  
9           reference it back to this standard so that we know  
10          to come back and look at this if we think that the  
11          staffing is going to be the burden, then we may  
12          have to come back and look at this standard again  
13          as well and say, do we take these times off because  
14          it's going to be too difficult for our PI staff to  
15          monitor if we can't get the funding for the  
16          additional staff members.

17                 Does that seem reasonable? Let's leave it how  
18          it is for now and then if we decide that we can't  
19          request the additional funding for the PI staffing,  
20          then we may have to come back and take these times  
21          off.

22                 Yes, Dr. Smith.

23                 DR. ANG: Well, I think we can -- this is  
24          Darwin Ang. I'm sorry.

25                 I think these times are important. I mean,

1           you do need timely CT imaging. You need definitely  
2           timely IR procedure, you know. And this is part of  
3           the requirement in the Gray Book. And many of us  
4           are dual certified, state and ACS, so regardless,  
5           you know, the majority of trauma centers are going  
6           to be following these times.

7           I think our state standard should support  
8           what's best for patient care. And if getting these  
9           times down and getting more PI nurses is what's  
10          going to be important for patients, I think that's  
11          what we need to do. And so I would keep these  
12          times in and we would -- we need to do a hard look  
13          at the PI-to-patient ratio and then have a more  
14          in-depth discussion. Because these are time  
15          periods I think that are important for patient care  
16          and they're supported through the Gray criteria --  
17          the Gray Book and the ACS criteria for the injured  
18          patients.

19                 MS. DINOVA: Dr. Smith.

20                 DR. SMITH: Steve Smith, Gainesville.

21                 For 1, 2 and 3, I would simply say immediately  
22          available. That's what the ACS looks at basically  
23          when you do the reviews. And it's not a PI issue  
24          unless they're not immediately available and then  
25          you do the PI process. To -- to have your

1           registrars or PI nurses look at 15 minutes, 15  
2           minutes, 15 minutes for every patient that comes in  
3           is going to create an inordinate amount of work  
4           that's probably not going to get done.

5           What Amy said is right, the -- the Gray Book  
6           standard is .5 FTE for every 2- to 300 patients, in  
7           other words, a max of 600 for one FTE. But the  
8           Gray Book standard also is one PI nurse. That was  
9           not the original discussion point. It was supposed  
10          to be one per thousand and it didn't come out that  
11          way in the standards.

12          MS. DINOVA: We would all love to see that in  
13          a clarification.

14          DR. SMITH: Yeah, I think that's what it  
15          should be, frankly. And I think that was the -- at  
16          least the original discussion, but that is not the  
17          standard that came out.

18          MS. DINOVA: Yeah. I think we're going to  
19          have to -- I see where all of you are saying about  
20          adding the times. It just is something that we may  
21          have to come back and look at with the dollar signs  
22          with the PI staffing.

23          Yes, Candace.

24          MS. PINEDA: Candace Pineda, Memorial  
25          Regional.

1           I think that this is a nicer or more defined  
2       way to restate that trauma patients should have  
3       priority. When you're at a center that also does  
4       many other specialty service lines, neuro and  
5       transplant and other, it's hard to say one service  
6       trumps the other. So if you just say injured  
7       patients need this immediately available, then it  
8       still gives them the priority. So I think having  
9       sort of time or immediately available still gives  
10      that without having to be competitive with other  
11      services.

12           And two, at the beginning in the  
13      administrative commitment, it says: You will  
14      provide all human and other resources necessary to  
15      meet the standards. So even if you don't have a  
16      specific -- I know a lot of centers really need  
17      some more granular level to get support, but if you  
18      can't meet your requirements, administration signs  
19      a document that says they'll do what's necessary to  
20      make it happen, so . . .

21           MS. DINOVA: All right. So I think the  
22      suggestion on the table is for right now to leave  
23      it how it is and then once we get to the end of all  
24      the revisions and we look at the dollar signs, if  
25      we need to, we can come back and look and see if



1           this is going to be a PI burden.

2           Lianne, does that meet your concerns?

3           MS. BROWN: Yes, it does. Thank you.

4           MS. DINOVA: Okay.

5           All right. We have made note of that.

6           Any other commentary about this section?

7           No.

8           I'm trying to remember where we left off. Was  
9           it --

10          MS. HAMILTON: Here.

11          MS. DINOVA: Oh, here. Yes, we did.

12          MS. HAMILTON: And I believe that was all  
13          good. And then we -- so we --

14                 Radiologists at trauma centers utilizing  
15                 teleradiology may take call from the site of the  
16                 off-campus computer terminal if the trauma center  
17                 assumes all responsibility and liability to ensure  
18                 that images are of such quality that the patient's  
19                 outcome is not compromised. Radiologists working  
20                 off campus must arrive promptly to the trauma  
21                 center when summoned.

22                 And talking about CT, CT technicians must be  
23                 available in hospital 24 hours a day. A  
24                 radiological technician must be available in  
25                 hospital 24 hours a day.

1 And should I close it out with the CT?

2 Okay. CT scanner requirements. There must be  
3 at least one CT scanner available for trauma alert  
4 patients and it must be located in the same  
5 building as the resuscitation area. CT scanners  
6 situated in more remote areas of the hospital  
7 campus necessitating patient movement from one  
8 building to another and mobile units or in other  
9 institutions do not meet this requirement.

10 If the trauma center only has one CT scanner,  
11 there must be a written plan in place outlining the  
12 steps to be taken in case the apparatus is in use  
13 or temporally inoperable. This plan must include  
14 agreement for transporting trauma patients.

15 MS. DINOVA: Okay. So any discussion on --  
16 starting at 3 and moving to the end of the standard  
17 there?

18 Dr. McKenney? Dr. Ang?

19 DR. MCKENNEY: Hey, this is Mark.

20 I got cut off for a little bit, but I caught  
21 that. And I think the standard is reasonable.

22 MS. DINOVA: Okay. Dr. Ang?

23 DR. ANG: I think the standard is reasonable  
24 as well. I'm assuming that no one's going to be  
25 using an 8-slice CAT scan for trauma, but I assume

1 no one's going to be using those -- those  
2 less-than-modern CAT scans.

3 MS. DINOVA: Okay. Any comment in the room?

4 All right. Any commentary online?

5 All right. Moving on.

6 MS. HAMILTON: That's the end.

7 All right.

8 MS. DINOVA: One more standard, guys. Hang in  
9 there.

10 MS. HAMILTON: You've got this.

11 MS. DINOVA: One more to do today.

12 MS. HAMILTON: And where is Dr. Zito, 'cause  
13 this is disaster.

14 MS. KOCEVAR: She's online. She had to  
15 unfortunately depart, but she's online.

16 MS. DINOVA: Perfect. Okay.

17 MS. HAMILTON: Okay. The trauma center shall  
18 meet the disaster-related requirements pursuant to  
19 Standard 395.1055(1)(c) Florida statute -- I don't  
20 really know how to say that, so I apologize -- and  
21 the Agency For Health Care Administration  
22 Comprehensive Emergency Management Plan, Chapter  
23 59A-3.078 Florida Administrative Code, and Joint  
24 Commission on the Accreditation of Health Care  
25 Organizations standards.

1           That is what was there before. That's been  
2           left there. No changes.

3           What we did add was: To ensure a strong  
4           surgical response in the event of a disaster, it is  
5           imperative to integrate all trauma programs into  
6           the hospital's disaster plan. The hospital's  
7           disaster committee must include a trauma surgeon  
8           from the trauma panel. This surgeon should be  
9           responsible for producing a surgical response  
10          strategy for mass casualties. This surgical  
11          response strategy should encompass essential  
12          elements such as identifying critical personnel,  
13          establishing communication methods, conducting  
14          initial surgical triage, including subspecialty  
15          triage when applicable, and coordinated secondary  
16          procedures.

17          The trauma program should actively participate  
18          in hospital drills or disaster plan activation each  
19          year. These drills and activations should involve  
20          a trauma response and be designed to enhance the  
21          hospital's preparedness for a mass casualty event.

22          MS. DINOVA: This is Lisa.

23          My only comment would go back to the  
24          conversation that we had earlier about the  
25          redundancy plan for communication. So my

1 suggestion would be in here where it says  
2 establishing communication methods, perhaps adding  
3 with -- with a backup or redundancy plan.

4 Would that meet the concerns that we had  
5 earlier in the communications discussion?

6 UNIDENTIFIED SPEAKER: I'm going to say it  
7 does.

8 MS. DINOVA: Okay.

9 DR. ANG: Yes.

10 MS. DINOVA: With redundancy or backup,  
11 whichever word you want to use.

12 MS. DOUGLAS: This is Alisha from Lakeland.

13 That's actually in A. So that's in A. The  
14 communication, that -- the two-way radio and all  
15 that is in A for disaster preparedness --

16 MS. DINOVA: Oh, it's in the code already?

17 DOUGLAS: Yeah, in disaster preparedness, they  
18 are required to have two-way radios. That's part  
19 of that. So it's in A.

20 MS. DINOVA: Okay.

21 MS. HAMILTON: So what would you guys like to  
22 do? Leave that with a backup communication plan  
23 out?

24 MS. DINOVA: Or I think she's saying -- so  
25 what we had -- so A came from the current Florida

1 standard.

2 MS. HAMILTON: I know it's already all in  
3 there.

4 MS. DINOVA: A came from the current Florida  
5 standard and B came from the Gray Book, and we kind  
6 of mushed them together. I think what Alisha is  
7 saying that A kind of already covers B.

8 MS. KOCEVAR: Dr. Ebler was the one that was  
9 asking about this.

10 MS. DINOVA: Dr. Ebler, are you online? I  
11 believe you had some questions or comments about  
12 this section.

13 DR. EBLER: Yeah, I'm here. I'm just trying  
14 to read it.

15 The point I was just trying make, you know,  
16 earlier was there should be some kind of language  
17 in there encouraging the continued utility of, you  
18 know, radios for two-way communication. You know,  
19 my one concern is that if we leave it kind of  
20 vague, then people will just put it in that they,  
21 you know, rely on -- you know, on some kind of  
22 cellular based communication for two-way  
23 communication.

24 I'm trying to read the verbiage on that to see  
25 if it -- this accounts for it or if within the

1 state EMS, you know, communication plan it has that  
2 within it.

3 MS. DINOVA: I think that's what Alisha is  
4 saying, is that in -- in those standards or the  
5 statute that's listed up there, 395, and then the  
6 EMS plan Chapter 59A, already addresses having the  
7 two-way radios.

8 DR. EBLER: Yeah. That was just my -- my  
9 concern. You know, I think, you know, it's been --  
10 it's been 20 years since, you know, 9/11, but it  
11 was a very chaotic event and the first thing that  
12 went down was the cellular communication. So and,  
13 you know, we relied on radios and even fax machines  
14 and landlines. So, yeah, as long as that's just  
15 acknowledged and there's something in there about  
16 backup communication and not -- you know, having  
17 radios actually as part of that plan.

18 MS. DINOVA: Yeah. And I think she's  
19 confirmed, she went on and looked and it's --  
20 that's in there already. So that should cover us  
21 then. So we took that back out.

22 DR. EBLER: Okay. Thank you.

23 MS. DINOVA: What about the rest of the  
24 standard, anybody?

25 Dr. McKenney or Dr. Ang?

1 DR. ANG: Standard looks fine to me.

2 MS. DINOVA: Okay. And Dr. McKenney?

3 DR. MCKENNEY: This is Mark.

4 Same thing.

5 MS. DINOVA: All right. Commentary in the  
6 room?

7 Comments online?

8 Friends, we just have a consensus document of  
9 six standards. Thank you so much for your work.

10 Don't hang up yet. I have, like, three  
11 slides. I swear this is going to be quick.

12 MS. HAMILTON: You guys did so good. You get  
13 next week off.

14 MS. DINOVA: That's what I was going to --  
15 that's going to be next, future business. All  
16 right. So I'm keeping a running list of things  
17 that we will need to, as a council, come back and  
18 actually vote on, was those by-laws update, the  
19 charter update, getting Candace and I out of these  
20 positions and putting somebody else in them. That  
21 will come when we get some more people in.

22 Public comment. I'm supposed to formally open  
23 this up for any public comment. I think we've been  
24 public commenting the whole time.

25 Anybody online have anything that they need to



1 add?

2 All right. So our next comments hours  
3 meeting, like Laura said, we're going to give you  
4 next week off since we've been here for two days  
5 doing meetings. Our next comments hours meeting  
6 will probably be on Tuesday, the 17th. We're going  
7 to get that posted, get the agenda posted and the  
8 Teams links all connected for you and get the rest  
9 of those dates moving forward posted. So make sure  
10 that you go to the advisory council tab on the DOH  
11 website to find those notices probably beginning of  
12 next week sometime.

13 Our next advisory council meeting is going to  
14 be January of 2024 in Orlando. We're going to meet  
15 up with the other organizations and committees that  
16 are meeting there that week. We will get you a  
17 formal date and get that posted to the website as  
18 well.

19 Okay. If nobody has anything further, I'm  
20 going to call this meeting adjourned.

21 (Meeting concluded at 2:07 p.m.)

22

23

24

25

CERTIFICATE OF REPORTER

STATE OF FLORIDA )

COUNTY OF ALACHUA )

I, Erica Owen, Court Reporter, certify that I was authorized to and did stenographically report the foregoing meeting on the 5th day of October, 2023, and that the transcript is a true and complete record of my stenographic notes.

Dated this 1st day of November, 2023.

*Erica Owen*



Erica Owen